

DEPARTMENT OF HEALTH AND HUMAN SERVICES

Eighteenth Meeting
of the

**SECRETARY'S ADVISORY COMMITTEE
ON
GENETICS, HEALTH, AND SOCIETY
(SACGHS)**

+ + +

**Thursday
March 12, 2009**

– VOLUME I –

+ + +

Hubert H. Humphrey Building
200 Independence Ave., SW
Washington, DC

PARTICIPANTS:

Committee Members

Committee Chair**Steven Teutsch, M.D., M.P.H.**

Chief Science Officer

Los Angeles County Department of Health

Mara Aspinall, M.B.A.

Senior Advisor

Genzyme Corporation

Sylvia Mann Au, M.S., C.G.C.

Hawaii State Genetics Coordinator

Genetics Program

Hawaii Department of Health

Paul Billings, M.D., Ph.D., F.A.C.P., F.A.C.M.G.

President and Chief Executive Officer

CELLective Dx

David Dale, M.D.

Professor of Medicine

Department of Medicine

University of Washington

Gwen Darien

Director

Survivor and Patient Advocacy

American Association for Cancer Research

Rochelle Dreyfuss, M.A., J.D. [Not present]

Pauline Newman Professor of Law

New York University School of Law

James P. Evans, M.D., Ph.D.

Professor of Genetics and Medicine

Director of Clinical Cancer Genetics

and the Bryson Program in Human Genetics

Departments of Genetics and Medicine

University of North Carolina at Chapel Hill

Andrea Ferreira-Gonzalez, Ph.D.

Professor of Pathology

Director, Molecular Diagnostics Laboratory

Virginia Commonwealth University

PARTICIPANTS *(continued)*:**Kevin T. FitzGerald, S.J., Ph.D., Ph.D.**

Dr. David P. Lauer Chair in Catholic Health Care Ethics
Research Associate Professor
Department of Oncology
Georgetown University Medical Center

Julio Licinio, M.D.

Professor and Chairman
Miller School of Medicine
University of Miami
Department of Psychiatry and Behavioral Sciences

Barbara Burns McGrath, R.N., Ph.D.

Research Associate Professor
School of Nursing
University of Washington

Samuel Nussbaum, M.D. [Not present]

Executive Vice President
Clinical Health Policy
Chief Medical Officer
WellPoint, Inc.

Charmaine Royal, Ph.D. [Not present]

Associate Research Professor
Institute for Genome Sciences and Policy (IGSP)
Duke University

Joseph Telfair, Dr.P.H., M.S.W., M.P.H.

Professor
Public Health Research and Practice
Department of Public Health Education
University of North Carolina at Greensboro

Sheila Walcoff, J.D.

Partner
McDermott, Will & Emery, LLP

Marc S. Williams, M.D., FAAP, FACMG

Director
Clinical Genetics Institute
InterMountain Healthcare

Paul Wise, M.D., M.P.H.

Richard E. Behrman Professor of Child Health and Society
Stanford University

PARTICIPANTS *(continued)*:

Ex Officios

Administration for Children and Families**Naomi Goldstein, Ph.D.**

Director

Office of Planning, Research and Evaluation

Agency for Healthcare Research & Quality**Gurvaneeet Randhawa, M.D., M.P.H.**

Medical Officer

Center for Outcomes and Evidence

Centers for Disease Control and Prevention**Katherine Kolor, Ph.D., on behalf of Muin Khoury, M.D., Ph.D.**

Director

National Office of Public Health Genomics

Centers for Medicare and Medicaid Services**Barry M. Straube, M.D.**

Chief Clinical Officer

Director

Office of Clinical Standards and Quality

Jeffrey Roche, M.D.

Medical Officer

Coverage and Analysis Group

Office of Clinical Standards and Quality

Department of Commerce**Michael Amos, Ph.D.**

Scientific Advisor

Chemical Science and Technology Laboratory

National Institute of Standards and Technology

Department of Defense**Daniel J. Wattendorf, LtCol, USAF, MC**

Deputy Chief, Medical Innovations

Office of the Air Force Surgeon General

Department of Energy**Peter Kirchner, M.D., on behalf of Daniel Drell, Ph.D.**

Biologist, Life Sciences Division

Office of Biological and Environmental Research

Department of Labor**Amy Turner, on behalf of Thomas Alexander, J.D.**

Chief of Staff

Employee Benefits Security Administration

PARTICIPANTS *(continued)*:Department of Veterans Affairs**Douglas Olsen, Ph.D., R.N., on behalf of Ellen Fox, M.D.**

Director

National Center for Ethics in Health Care

Sherrie Hans, Ph.D.

Program Director, Health Policy Coordination

Veterans Health Administration

Doug Olsen, Ph.D., R.N.

Nurse Ethicist

National Center for Ethics in Health Care

Veterans Health Administration

Equal Employment Opportunity Commission**Sharon Alexander, on behalf of Stuart Ishimaru, J.D.**

Acting Chair

Equal Employment Opportunity Commission

Kerry Leibig, J.D.

Senior Attorney Advisor

Office of Legal Counsel

Federal Trade Commission**Sarah Botha, J.D.**

Attorney

Bureau of Consumer Protection

Division of Advertising Practices

Food and Drug Administration**Alberto Gutierrez, Ph.D.**

Deputy Director for New Product Evaluation

Office for In Vitro Diagnostic Device Evaluation and Safety

Center for Devices and Radiological Health

Health Resources & Services Administration**Denise Geolot, Ph.D., R.N., FAAN**

Director

Center for Quality

National Institutes of Health**Alan E. Guttmacher, M.D.**

Deputy Director

National Human Genome Research Institute

Office for Civil Rights**Robinsue Frohboese, J.D., Ph.D.**

Principal Deputy Director

PARTICIPANTS *(continued)*:**Sue McAndrews**Office for Human Research Protection

Michael A. Carome, M.D.

Associate Director for Regulatory Affairs

Office of the Secretary**Michael A. Carome, M.D.**

Office of Public Health and Science (Acting Ex Officio)

SACGHS Staff

Executive Secretary**Sarah Carr**

NIH Office of Biotechnology Activities

Cathy Fomous, Ph.D.

Senior Health Policy Analyst

NIH Office of Biotechnology Activities

Kathryn Camp

Senior Health Policy Analyst

NIH Office of Biotechnology Activities

Abbe Smith

Capital Consulting Corporation

Darren Greninger

Senior Health Policy Analyst

NIH Office of Biotechnology Activities

Tara Hurd

Program Assistant

NIH Office of Biotechnology Activities

Andrea Collins

Committee Management Office

Division of Extramural Activities

National Cancer Institute

Phyllis Frosst, Ph.D.**Barry M. Straube, M.D.**

Chief Clinical Officer

Director, Office of Clinical Standards and Quality

PARTICIPANTS *(continued)*:**Speakers****Sylvia Mann Au, M.S., C.G.C.**

Hawaii State Genetics Coordinator
Genetics Program
Hawaii Department of Health

William (Greg) Feero, M.D., Ph.D.

Senior Advisor to the Director for Genomic Medicine
National Human Genome Research Institute
National Institutes of Health

Christy White [via telephone]

Cogent Research, LLC

Larry Thompson

Chief, Communications Branch
National Human Genome Research Institute

Lyla Hernandez, M.P.H.

Senior Program Officer
Institute of Medicine, National Academies

Amy Miller, Ph.D.

Public Policy Director
Personalized Medicine Coalition

Anne Willey, Ph.D., J.D.

Director, Office of Laboratory Policy and Planning
Wadsworth Center
New York State Department of Health

Kevin FitzGerald, S.J., Ph.D, Ph.D.

Dr. David P. Lauer Chair in Catholic Health Care Ethics
Research Associate Professor
Department of Oncology
Georgetown University Medical Center

Larry Gostin, J.D.

Chair, Institute of Medicine Committee on Health Research
and the Privacy of Health Information

R. Rodney Howell, M.D.

Chair, Advisory Committee for Heritable Disorders
in Newborns and Children (ACHDNC)

Stanley Crosley

Chief Privacy Officer
Eli Lilly

PARTICIPANTS *(continued)*:**Thomas Croghan, Ph.D.**

Senior Policy Fellow
Mathematica Policy Research

Andrew Nelson

Executive Director
Health Partners Research Foundation

Barbara Burns McGrath, R.N., Ph.D.

Research Associate Professor
School of Nursing
University of Washington

CONTENTS

	<u>Page No.</u>
Opening Remarks	
Steven Teutsch, M.D., M.P.H.	12
Update from Centers for Medicare and Medicaid Services	
Barry Straube, M.D.	22
Question-and-Answer Session	51
<u>Updates from SACGHS Ex Officios:</u>	
Equal Employment Opportunity Commission	
Kerry Leibig, J.D.	62
Agency for Healthcare Research and Quality	
Gurvaneet Randhawa, M.D., M.P.H.	68
National Institutes of Health	
Phyllis Frosst, Ph.D.	73
Office for Civil Rights	
Robinsue Frohboese, J.D., Ph.D.	78
Federal Trade Commission	
Sarah Botha, J.D.	85
Department of Veterans Affairs	
Douglas Olsen, Ph.D., R.N.	87
Administration for Children and Families	
Naomi Goldstein, Ph.D.	91
Department of Energy	
Peter Kirchner, M.D.	92
Question-and-Answer Session	96
<u>Public Comments:</u>	
Theresa Lee	101
<i>CONSUMER-INITIATED USE OF GENOMIC SERVICES</i>	
Session Overview and Purpose	
Sylvia Au, M.S., CGC	109
Outcomes of an NIH-CDC Workshop on Personal Genomics (December 2008)	
William (Greg) Feero, M.D., Ph.D.	110

CONTENTS *(continued)*

	<u>Page No.</u>
Question-and-Answer Session	117
Genomic Attitudes and Trends	
Christy White	122
Question-and-Answer Session	133
NIH Website for Consumer-Level Information About DTC Genomic Services	
Larry Thompson	135
Question-and-Answer Session	149
Plans for the National Academies DTC Workshop	
Lyla Hernandez, M.P.H.	150
Standards for Analytical Validity and Clinical Validity of Genomic Scans	
Amy Miller, Ph.D.	155
New York State Laboratory Requirements Relevant to Genomic Services Companies	
Anne Willey, Ph.D., J.D.	160
Question-and-Answer Session	172
Committee Discussion of Issues and Next Steps	176
Proposal for Short-Term Action	
Sylvia Au, M.S., CGC	195
Committee Discussion	199
<i>INFORMED CONSENT ON GENOMIC DATA SHARING</i>	
Session Purpose and Overview	
Kevin FitzGerald, S.J., Ph.D., Ph.D.	211
Informed Consent Issues of Concern to the Advisory Committee for Heritable Disorders in Newborns and Children (ACHDNC)	
R. Rodney Howell, M.D.	213
Institute of Medicine Report: Beyond the HIPAA Privacy Rule	
Larry Gostin, J.D.	230

CONTENTS (continued)

	<u>Page No.</u>
Question-and-Answer Session	239
Committee Discussion	265
<i>GENETICS EDUCATION AND TRAINING TASK FORCE</i>	
Update on Data-Gathering	
Barbara Burns McGrath, R.N., Ph.D.	280
Committee Discussion	292
Closing Remarks	
Steven Teutsch, M.D., M.P.H.	293
Adjournment	293

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

PROCEEDINGS

[10:02 a.m.]

Opening Remarks

Steven Teutsch, M.D., M.P.H.

DR. TEUTSCH: Good morning, everyone. Welcome to the 18th Meeting of the Secretary's Advisory Committee on Genetics, Health, and Society. I'm Steve Teutsch. I think I have met most of you.

As most of you are aware, the public, as usual, has been made aware of this meeting through notices in the Federal Register, as well as announcements on the SACGHS website and listserv. We want to welcome all of the members of the public in attendance, as well as the viewers who are tuned in via the webcast. We really appreciate all of your interest in our work.

Please note that we have scheduled two sessions for public comment. One is at 12:45 today, and one is at 9:00 a.m. tomorrow morning. Two individuals have registered to make comments at that time, but there is still an opportunity for others to do so. We would just ask you to sign up at the registration desk.

I want to begin this session by introducing and

1 welcoming the new faces around the table. We have five
2 new members who have been appointed to SACGHS.

3 First, Gwen Darien. Gwen is down here. Gwen
4 is the director of Survivor and Patient Advocacy at the
5 American Association for Cancer Research. She was
6 previously the editor of MAMM, a consumer magazine
7 dedicated to women with breast and reproductive cancer.

8 We are delighted that you are here. Thanks so
9 much.

10 Dr. David Dale, who is sitting across from me,
11 is an internist and professor of medicine at the
12 University of Washington, and president of the American
13 College of Physicians.

14 We are delighted that you could be here and
15 join us as well.

16 Sheila Walcoff will be here shortly, I believe.

17 We welcome her back in her new capacity. Sheila is now
18 a partner with the law firm of McDermott, Will and Emery.

19 You will recall that Sheila served as counselor for
20 Science and Public Health to Secretary Leavitt. In that
21 role, she presented to this committee the Secretary's
22 Charge on Oversight of Genetic Testing.

1 Another new member is Dr. Sam Nussbaum, who
2 will be here tomorrow. He is executive vice president of
3 Clinical Health Policy and chief medical officer at
4 WellPoint. Sam also has responsibility for HealthCorps,
5 WellPoint's clinical outcomes research subsidiary. You
6 will be seeing Sheila and Sam when they arrive.

7 One member who could not attend this meeting is
8 Dr. Charmaine Royal. She is associate research professor
9 at Duke University's Institute for Genome Sciences and
10 Policy. She is a former post-doctoral fellow in the
11 Bioethics and Special Populations Program at the National
12 Human Genome Research Institute. We look forward to
13 having her here at the next meeting.

14 Welcome to all of the new members. Your
15 expertise will serve us well as we move forward with our
16 new priorities. The full bio sketches for the new
17 members can be found in your briefing books.

18 I would also like to introduce a few ex officio
19 members of our Committee. Dr. Naomi Goldstein, who was
20 at our last meeting, is our ex officio from the
21 Administration for Children and Families, where she is
22 director for the Office of Planning, Research, and

1 Evaluation. She has previously served as director of the
2 Division of Child and Family Development in the Office of
3 Planning, Research, and Evaluation.

4 Dr. Peter Kirchner, in the Office of Biological
5 and Environmental Research at the Department of Energy,
6 is filling in for Dr. Dan Drell.

7 Dr. Alberto Gutierrez is our new ex officio
8 from the FDA, where he is the deputy director for New
9 Product Evaluation in the Office of In Vitro Diagnostic
10 Device Evaluation and Safety.

11 Stuart Ishimaru is the new ex officio from the
12 Equal Employment Opportunity Commission, the EEOC.
13 Sharon Alexander, special assistant in his office, will
14 be serving as his alternate.

15 We are glad to see you here today.

16 Kerry Leibig, whom we met in December, is here
17 to give an update from them later on. Finally, Dan
18 Wattendorf is filling in as the ex officio from the
19 Department of Defense until a permanent ex officio is
20 assigned.

21 As always, we really value the input from all
22 of our ex officio members and appreciate all of your

1 contributions.

2 One more update on our roster. As many of you
3 may know, Professor Paul Miller has begun a short stint
4 as special assistant to the President, and has therefore
5 resigned from the Committee.

6 We have five main goals for this meeting.
7 First, we have asked our ex officios to give us brief
8 reports on their agencies' missions and relevant
9 developments since our last meeting. This afternoon, we
10 will receive an update on activities relating to DTC
11 genomic services and discuss what steps, if any, the
12 Committee would like to take to address issues of
13 concern. After that, we will be updated on informed
14 consent issues for sharing genomic data and consider what
15 steps, if any, to take in that area.

16 At the end of today, Barbara McGrath, who
17 chairs our Genetics, Education, and Training Task Force,
18 will provide some preliminary findings from the surveys
19 that we have been conducting.

20 Tomorrow will be devoted to our work on one of
21 our new priorities, genetics and the future of the
22 healthcare system. We have organized a roundtable of

1 public and private payers to learn their perspectives on
2 new approaches to coverage and reimbursement,
3 particularly as they relate to genetic technologies and
4 services.

5 Now let me turn to Sarah, who will remind us of
6 how conflicted we actually are.

7 [Laughter.]

8 MS. CARR: Thank you, Steve. Good morning,
9 everyone. I just want to remind you, as I do at every
10 meeting, that you are special government employees when
11 you serve on the Committee, and you are subject to the
12 rules of conduct that apply to regular government
13 employees. You are aware of all these rules. You have a
14 document called Standards of Ethical Conduct for
15 Employees of the Executive Branch.

16 I just want to take a moment to remind you
17 about two of the rules. One is about conflicts of
18 interest. Before every meeting, you provide us with
19 information about your personal, professional, and
20 financial interests, which is information that we use to
21 determine whether you have any real, potential, or
22 apparent conflicts of interest that could compromise your

1 ability to be objective in giving advice during Committee
2 meetings.

3 While we waive conflicts of interest for
4 general matters because we believe your ability to be
5 objective will not be affected by your interests in such
6 matters, we also rely to a great degree on you to be
7 attentive during our meetings to the possibility that an
8 issue would arise that could affect or appear to affect
9 your interests in a specific way.

10 In addition, we have provided each of you with
11 a list of your financial interests and covered
12 relationships that would pose a conflict. That should be
13 at your seat this morning. If they became a focal point
14 of Committee deliberations, we would ask you to recuse
15 yourself.

16 I also want to mention the rules about
17 lobbying. Government employees are prohibited from
18 lobbying. We can't lobby, not as individuals or as a
19 committee. We advise the Secretary of Health and Human
20 Services, not the Congress. If you lobby in your
21 professional capacity or as a private citizen, it is
22 important for you to keep that activity separate from the

1 activities associated with this committee.

2 Thank you very much. We appreciate how
3 attentive and conscientious all of you are about these
4 rules. Thank you.

5 DR. TEUTSCH: Thank you, Sarah. Just a few
6 more announcements before we get into the body of our
7 discussions today. At our December meeting we reviewed
8 the draft report prepared by the Gene Patents and
9 Licensing Practices Task Force. That report was released
10 to the public on March 9th. The public comment period
11 will be open until May 15th. We sincerely welcome public
12 feedback so we can take that into consideration.

13 It has been an enormous amount of work on many
14 people's part, and particular thanks to Jim Evans and all
15 the Task Force members and staff who worked so long and
16 hard to get it to this point.

17 Since we last met, a number of organizations
18 have held meetings that are of interest and relevant to
19 our work. I just want to highlight a few of those.

20 In February, Paul Billings served as one of the
21 keynote speakers at the kickoff symposium for the Center
22 for Translational and Policy Research on Personalized

1 Medicine. The Center is at the University of San
2 Francisco and was founded and is directed by Catherine
3 Phillips. At the symposium Paul informed attendees of
4 the Committee's work and recommendations concerning
5 establishing the clinical utility of genetic tests.

6 The Advisory Committee on Heritable Disorders
7 in Newborns and Children, one of our sister committees,
8 held a meeting in late February that was attended by
9 SACGHS staff members. Just as a matter of process, our
10 committee no longer has a formal liaison to the group,
11 due to a change in the charter of that group, but SACGHS
12 staff will continue to attend the meetings to stay
13 informed of their activities.

14 The Institute of Medicine's Roundtable on
15 Translating Genome-Based Research for Health held a
16 meeting in early February that I attended. The meeting
17 included a workshop on developing systems for evidence
18 generation, focused primarily on clinical utility.
19 Members of the Roundtable also developed a plan to begin
20 exploring three subtopics in greater detail, namely the
21 effects of genetics and genomics on drug development, the
22 process for translating research discoveries into genetic

1 diagnostics, and the potential value of genetics to
2 medicine and public health.

3 In addition to our roster changes, we have some
4 new staff announcements. Yvette Seger left SACGHS at the
5 end of January. She took a position at Discovery Logic.

6 We wish her the best in her new position. She has made
7 great contributions to a number of our reports.

8 We also have a new member of the SACGHS staff
9 to welcome. Kathy Camp joined the staff in January,
10 after 20 years of combined clinical and academic work in
11 pediatric nutrition. In addition to caring for children
12 and families with genetic disorders, most recently at the
13 Walter Reed Army Medical Center, she has been serving and
14 providing leadership on a number of committees and
15 organizations related to genetic education and newborn
16 screening.

17 Appropriately, given her impressive background
18 and interest, Kathy is now the staff lead to the
19 Committee's Task Force on Genetics, Education, and
20 Training.

21 Welcome to the team, Kathy.

22 Let me turn to the first order, which is to

1 hear from our ex officio members. We will be hearing
2 from many of them today and tomorrow. We have
3 particularly asked Barry Straube, who is the chief
4 medical officer for the Centers for Medicare and Medicaid
5 Services, to talk to us.

6 As many of you are aware, we have done
7 extensive work with CMS on issues related to coverage,
8 reimbursement, and related issues. CMS has been working
9 diligently on many of those. We wanted to have an
10 opportunity for Barry to talk to you about that, with the
11 understanding that CMS works within a closely regulated
12 framework and has authority to do some things. Others,
13 of course, come at them from congressional mandates.

14 I think that what Barry has to say will be very
15 enlightening. I know you have a deadline on the other
16 end on some meetings, but we are delighted to have you
17 here. We appreciate your continued interest in the work
18 of this committee. Now I turn it over to you.

19 **Update from Centers for Medicare and Medicaid Services**

20 **(CMS)**

21 **Barry Straube, M.D.**

22 [PowerPoint presentation.]

1 DR. STRAUBE: Steve, thank you very much. Good
2 morning to everybody. I apologize for having to leave a
3 bit early and not being able to be here the whole time.

4 As you can imagine, we are heavily involved,
5 with my other colleagues from HHS, with the Recovery Act,
6 with the CHIPRA bill, with implementation of MIPPA, and a
7 bunch of other statutory mandates, in addition to helping
8 the new administration with the preliminary efforts on
9 healthcare reform. We have a number of things on our
10 plates right now.

11 Genomics, in my mind, has been one of those
12 issues that is seminal to healthcare reform. It is
13 certainly something we haven't talked about in a more
14 broad setting in the past. We are going to need to
15 engage on that. This committee has done some exemplary
16 work over the last number of years that I think sets a
17 wonderful base for a broader national discussion on
18 genomics and how that fits into healthcare reform in
19 general.

20 What I wanted to cover this morning, in my time
21 frame here, were several things. First, I wanted to talk
22 a little bit about the history of genetic testing in the

1 Medicare program. We will go through that and I will get
2 into some issues.

3 If you could go to the second slide there,
4 please. We will then cover some of the specific things
5 that you see listed on the screen here that we have been
6 involved with recently, more specifically over the last
7 year or so since I got more involved with genomics in the
8 agency and wanted to elevate this to a much higher
9 priority.

10 Go to the next slide, please. The first area
11 here we will talk about is coverage for genetic testing
12 and some history of this. For those of you who have been
13 on this committee, this is probably a frustrating and
14 mysterious area in terms of why does CMS do what they do.
15 Quite frankly, I'm still figuring it out myself, having
16 been at CMS for a few years. This is educational for me,
17 and hopefully for you, also.

18 Currently, referring specifically to genetic
19 testing services, we cover Medicare beneficiaries for
20 genetic testing services when it is used specifically for
21 the diagnosis of specific diseases. This is propped up
22 historically. I will try to make the case that it is

1 time for us to be rethinking some of our positions,
2 guidelines, and policies.

3 We cover cytogenetic testing under a national
4 coverage determination. We make national and local
5 coverage decisions at CMS. About 15 percent of the
6 coverage decisions that are made under the Medicare
7 program are made referable to national coverage
8 decisions. About 85 percent of the coverage decisions
9 are made referable to local coverage decisions, which I
10 will get into, also. The bulk of coverage decisions are
11 being made by a contractor medical director at a local
12 level, sometimes guided by national guidance but often
13 guided by local coverage decisions that are made locally.

14 Next slide, please. In terms of cytogenetics,
15 over the years there has been at a national level a
16 definition of what we cover referable to cytogenetics.
17 As you can see right here on the slide, the definition of
18 cytogenetics sounds more like something that would have
19 been relevant when I was in medical school, cytogenetics
20 being the "microscopic examination of the physical
21 appearance of human chromosomes." There has been a
22 tremendous change in genetics since we were in medical

1 school, but that is what is on the books now. I would
2 posit that we need to refine that.

3 The second bullet defines what cytogenetic
4 tests are deemed by Medicare historically to be
5 reasonable and necessary for coverage. "Reasonable and
6 necessary" is another very confusing term that has never
7 been very well defined. There have been multiple
8 attempts by the agency to redefine this. We are in the
9 process of trying to do that one more time and present
10 that to the new administration.

11 Basically, the way the statute and subsequent
12 regulation has defined "reasonable and necessary" for
13 coverage is basically as a service, treatment, or device
14 that will lead to improved outcomes in a patient
15 population that is relevant for the Medicare population.

16 It is not just does something work, is it safe, et
17 cetera. It has to actually lead to improved outcomes for
18 Medicare beneficiaries.

19 The official ones that are listed as definitely
20 being reasonable and necessary I have listed here:
21 genetic disorders in a fetus, such as Trisomy 21
22 analysis; failure of sexual development; chronic

1 myelogenous leukemia; acute leukemias; or myelodysplasia.

2 Obviously, this is a very short list of relevant
3 cytogenetic tests. I think we will be struggling in the
4 very near term, let alone the long term, with how to
5 expand this list. In doing so, we have to adhere to this
6 definition of reasonable and necessary.

7 Next slide, please. That is the national
8 coverage-guided cytogenetic testing decision. The local
9 carriers for each of the Medicare administrative
10 contractors, who pay the bills for us, will interpret
11 that national coverage decision at a local level. They
12 have authority to have some leeway. We can give them
13 some guidance but we can't overturn their decisions.
14 They will interpret the national coverage decisions.
15 They have the additional opportunity to make local
16 coverage decisions.

17 This is a key debate that has been going on for
18 decades. Some people would say, why have local coverage
19 decisions at all, especially in this modern age. Why not
20 have national determinations. What is the difference
21 between the Northeast and the Southwest in terms of
22 genetic testing and coverage. I happen to personally

1 fall onto that end of the spectrum. I think we should be
2 centralizing things more.

3 This process of allowing local coverage
4 determinations has evolved over the last three or four
5 decades. When you start delving into it, it does have
6 some relevance. It had more relevance in the past, in my
7 opinion, where decisions did vary regionally. It was
8 standard of practice that governed how people practiced
9 medicine, not evidence-based guidelines.

10 As we have evolved to the latter, I think that
11 there is some argument for making more national coverage
12 decisions, particularly in complex areas where the
13 subject expert resources are not available at the local
14 level.

15 Be that as it may, this is the way the law and
16 the regulations currently stand. The Medicare
17 administrative contractors can determine, absent national
18 coverage delineation, which Medicare benefits, including
19 genetic diagnostic tests, are covered within their
20 regions.

21 Some have said an advantage of LCDs is that
22 they are more flexible. Some would say that they are too

1 flexible and not prescriptive enough. They may be more
2 responsive to local needs and situations. That has been
3 true historically, but again, the counterbalancing is
4 that we may not need local influences beyond opinion.
5 Finally, they permit local input about coverage. That
6 could possibly be relevant because of certain populations
7 in a geographic area that other parts of the country
8 aren't sensitive to, for instance Indian health centers
9 on an Indian reservation. There might be people in other
10 parts of the country who know nothing about Indian
11 health, Indian customs, et cetera.

12 There are clearly disadvantages to having LCDs.
13 That includes lack of consistency across the MACs. We
14 get different determinations having been made between
15 different MACs. There is less national input at the
16 local level. They can set precedent for covering
17 something where, if we had broader national input, there
18 might have been a different decision put in place.
19 Finally, I mentioned earlier there are local resource
20 constraints. They just don't have the subject expertise
21 at the local level.

22 Next slide, please. If you go on the

1 CMS.HHS.gov website, we do have a national and local
2 coverage decision database. I have referenced here where
3 you can go and find out what LCDs are present or not
4 present. Really, right now they are somewhat limited in
5 terms of local carriers having put local coverage
6 decisions in place. I have listed the two main ones that
7 are referable there. Again, this is woefully inadequate
8 compared to the number of issues that we have talked
9 about at this Committee.

10 Next slide, please. These are some caveats, or
11 some general principles. If you go to our Coverage
12 Decision Handbook, again which has been developed over a
13 number of years, these are what are in place now and I
14 would say are, arguably, up for review. When we get into
15 tomorrow's session about going forward, I think this is
16 one of the things that Mara is going to charge us with
17 addressing. Keep these in mind for tomorrow. These are
18 some areas that we ought to be reconsidering.

19 First of all, genetic tests for cancer are only
20 a covered benefit for a beneficiary with a personal
21 history of an illness, injury, or signs or symptoms
22 thereof. A person with a personal history of a relevant

1 cancer is a clinically affected person, even if the
2 cancer is considered cure.

3 The caveat here is that genetic testing is
4 considered non-covered for patients who do not have a
5 relevant illness, injury, or sign or symptom of a
6 disease. If they are asymptomatic and don't have any
7 historical evidence of having a genetic disease, under
8 the current statute and regulations that genetic test is
9 not covered. That has been problematic. We have
10 discussed that here.

11 Next slide, please. The second caveat is,
12 predictive or presymptomatic genetic tests and services
13 in the absence of a past or present illness in the
14 beneficiary are not covered. Specifically, an issue that
15 has come up here frequently has to do with family
16 history. Again, under the statute and regulations
17 Medicare does not cover genetic tests based on a family
18 history alone. How we are going to integrate family
19 histories into coverage under the Medicare program I
20 think is a challenge for us. We need to get beyond that.

21 Next slide, please. The third caveat is, a
22 covered genetic test must be used to manage a patient.

1 We do not cover a genetic test for a clinically affected
2 individual for purposes of family planning, disease risk
3 assessment of other family members when the treatment and
4 surveillance of the beneficiary will not be affected, or
5 in any other circumstance that does not directly affect
6 the diagnosis or treatment of the beneficiary.

7 Again, we all know that all of those issues
8 come up and in some cases are quite important. But under
9 current statute and regulations we are limited by that.

10 Next slide, please. Question?

11 DR. EVANS: Does diagnosis constitute a form of
12 management? I'm interested in the wording that you had
13 in the previous slide, "that does not directly affect the
14 diagnosis or treatment of the beneficiary." Is simply
15 making a diagnosis [covered]?

16 DR. STRAUBE: It can be used in making a
17 diagnosis.

18 DR. EVANS: Then it falls into the rubric of
19 management.

20 DR. STRAUBE: The patient has to have signs or
21 symptoms to lead you to want to get that test to make the
22 diagnosis. Mara?

1 MS. ASPINALL: This also focuses on the fact
2 that it has to be the patient and not a family member of
3 the patient, as well as the other key point to that
4 slide.

5 DR. STRAUBE: That is correct. That is,
6 obviously, potentially a limitation in some
7 circumstances.

8 The fourth caveat is, the results of the
9 genetic test must potentially affect at least one of the
10 management options considered by the referring physician,
11 and it must be in accordance with accepted standards of
12 medical care. Some examples that we have listed here in
13 terms of management options might include that surgery
14 would be done or that you might judge the extent of the
15 surgery being done. You might change your surveillance
16 pattern afterwards. You might implement hormonal
17 manipulation or a change in drug dosage. All of these
18 are examples that might justify, again, genetic testing.

19 Next slide, please. The fifth caveat is, pre-
20 test genetic counseling must be provided by a qualified
21 and appropriately trained practitioner. I think there
22 are several rubs that we have had here at this Committee.

1 One is, what is a qualified and appropriately trained
2 practitioner. Second, and perhaps more importantly -- I
3 think we can agree on that one perhaps -- do they get
4 reimbursed or not to do the services that they are
5 allowed to do here.

6 The sixth caveat is that an informed consent
7 form must be signed by the patient prior to testing.
8 That informed consent must include a statement that he or
9 she agrees to a post-test counseling if that is required.

10 Medicare has to supply this form to whoever needs it.

11 Finally, the next slide, genetic analysis must
12 be provided through a laboratory which meets the American
13 Society of Clinical Oncology-recommended requirements. I
14 have listed those here.

15 Again, this is what has been built up over the
16 years. There are reasons for why they came to be. I
17 think there are barriers that ensue from some, if not
18 all, of these that have been mentioned here before and
19 are challenges to go through over the short to
20 intermediate term. We have new political leadership in
21 place with a different Congress on the Hill. We need to
22 think about whether they should be changed or not and, if

1 so, how are we going to change them.

2 Next slide. The next thing I wanted to talk
3 about was some of our activities in terms of how we are
4 looking at new diagnostic technologies.

5 If we go to the next slide, there is within CMS
6 a special Council on Technology and Innovation. This was
7 established after passage of the Medicare Modernization
8 Act of 2003. It is chaired by a political appointee from
9 within the agency. I am the co-chair, as the chief
10 medical officer for the agency. We have relevant staff
11 from a variety of parts of the agency, mainly those
12 responsible for coverage and payment policy.

13 This council is supposed to facilitate the
14 exchange of information about new technology as it comes
15 up, particularly as it raises questions about coverage
16 and payment policy. It is supposed to also help enhance
17 a coordinated response to inquiries from the general
18 stakeholder community when there are questions about new
19 technology and whether or not it is covered, coded, or
20 paid for.

21 Next slide, please. One thing that this
22 council has done is to publish a guide last fall. It is

1 on the website. The references are at the end of the
2 slide presentation. We published an Innovator's Guide to
3 Navigating CMS. It took several years to actually
4 document how to navigate CMS. This was done, of course,
5 by us internally.

6 [Laughter.]

7 DR. STRAUBE: You can understand that there is
8 a need for such a document.

9 Again, this guide is publicly available now.
10 It is a start assisting stakeholders trying to understand
11 the processes used to determine coverage, coding, and
12 payment for new technologies under the fee-for-service
13 program. It does provide summarized and simplified
14 versions of existing statutes, regulations, and other
15 policy materials for guidance. It tries to facilitate
16 timely introduction of innovative technology for care of
17 beneficiaries.

18 This is a reference for you. I think, again,
19 though, this only goes so far. Again, I'm fully
20 committed to trying to link up the Council on Technology
21 and Innovation with this Advisory Committee. I think
22 that we have to take some things back to the CTI from

1 this Advisory Committee and have that council deal within
2 CMS with some of the recommendations that are made to the
3 Secretary without even having to wait for the Secretary
4 to determine whether he or she wants us to address those
5 specifically.

6 Next slide, please. As part of the CTI, I also
7 established, about nine or twelve months ago, a Genomics
8 Working Group. This is a multicomponent workgroup that
9 would support CTI specifically on issues of genomics and
10 personalized medicine. Obviously, there are many, many
11 technology and innovation topics that come up to CTI, but
12 in my mind, we are the most behind on genomics and
13 personalized medicine. We are going to be more affected,
14 arguably, by genomics and personalized medicine
15 technology over the next several years. I keep referring
16 to it as a tsunami that is going to affect the agency,
17 and it is getting very, very close.

18 Again, the issues that we deal with I have
19 listed here. In addition to coverage and payment coding,
20 we include CLIA issues in this working group. We have to
21 look for alignment across not only Medicare fee-for-
22 service, which most people see CMS as running, but also

1 the Advantage Care Program under Medicare.

2 I'm happy to see that with the new
3 administration there is going to be something a lot of us
4 have wanted to do for some time now, and that is to get
5 the CHIP program in alignment with Medicare, both fee-
6 for-service and managed care. We are going to see that,
7 and hopefully we can focus on genomic issues across all
8 those product lines, if you will.

9 We have personalized healthcare issues that go
10 beyond just genomics, but this is a focal place where we
11 are going to coordinate those within CMS. Again, we have
12 increasing relationships with our sister agencies in HHS
13 to try to collaborate more on these topics.

14 Next slide, please. That covers that
15 particular focus within the agency. It needs to be done,
16 I think, in a much more rigorous and focused manner than
17 we have been able to achieve so far. Again, a change of
18 administration is a perfect time to get refocused on
19 certain issues and take them to the next level. We will
20 report back on that to you in the future.

21 Now, evidence and coverage of testing under
22 Medicare is another topic that we work on every day. In

1 addition to the national and local coverage decision
2 process I briefly mentioned earlier, we have other
3 technical advice that is being given to CMS. I wanted to
4 talk about some of the genomics issues that have come up
5 recently here.

6 At Medicare we have what used to be called the
7 MCAC, the Medicare Coverage Advisory Committee. We
8 changed the name about a year and a half ago to the
9 Medicare Evidence Development and Coverage Advisory
10 Committee to put a focus on the generation of evidence
11 for all decision-making in the agency.

12 This advisory committee entails 100 people that
13 we appoint. They sit for three-year terms. They are
14 very broadly representative of the healthcare stakeholder
15 community. We pick from that 100-member panel the
16 specific people who have the most subject expertise to
17 advise CMS on specific topics, with the MEDCAC meeting
18 several times a year.

19 It is a FACA-compliant committee. The Federal
20 Advisory Committee Act has very specific prescriptions
21 about who sits on it and how they can advise us. This is
22 a FACA-compliant committee, so it is similar to the

1 Advisory Committee.

2 We also seek outside technical advice, not only
3 from this Committee but through the Agency for Healthcare
4 Research and Quality, AHRQ. We also contract with
5 academic medical centers and other contractors to provide
6 us with technical assistance in any number of clinical
7 and scientific areas.

8 The MEDCAC, you will see, we can charter to
9 focus on specific issues. Again, pursuant to what we
10 have been doing over the last six to twelve months, I sat
11 down with staff. We had a MEDCAC meeting on February
12 25th that reviewed current recommendations about
13 evaluating sources of evidence for the patient-focused
14 health outcome benefits from diagnostic testing for
15 genetic testing. We had them focus on diagnostic
16 applications, prognostic applications, and
17 pharmacogenomic applications at this meeting. I will
18 talk about the highlights in a second.

19 We also plan a second MEDCAC on genomics on May
20 6th. These are the first two MEDCAC meetings that CMS
21 has had focusing on genomics. I anticipate that this
22 will be a regular thing. I would guess once a year we

1 will probably have to strive for a genomic issue to be on
2 the docket.

3 Next slide, please. The participants in the
4 February 25th meeting did recommend to CMS that we ought
5 to use a standard framework and methods and we ought to
6 delineate this in the form of a guidance document in
7 terms of how we are going to evaluate evidence about
8 diagnostic uses of genetic testing. I think that is one
9 assignment that we will have on our plate here over the
10 next year or so.

11 They also recommended that we encourage
12 evidence from clinical studies with high internal
13 validity about patient-focused health outcomes due to the
14 use of genetic results in care management.

15 Finally, they recommended we encourage
16 collaboration among CMS and other federal agencies
17 involved with research and healthcare policy pertaining
18 to genomics. As you all know, we sometimes come out with
19 slightly different viewpoints about how to interpret
20 clinical studies. I think that is most prevalent between
21 CMS and FDA. We have different statutory functions, and
22 some of that is natural, but we are trying to get in

1 alignment with what we are striving to seek from clinical
2 trials in particular.

3 I wanted to stress the second bullet again. We
4 are really going to focus as we go forward on evidence-
5 based, patient-focused health outcomes in terms of
6 driving our decision-making regulations and so forth
7 about genetic testing.

8 Next slide, please. This is a side thing that
9 I don't think I have mentioned to this Committee before
10 but that we are working on. Preventive services under
11 Medicare originally were not provided. If you look at
12 the original Medicare statute, there were no preventive
13 services as covered benefits under Medicare. Congress
14 has added these services, interestingly started in the
15 early 1990s or the late 1980s. It has only been over the
16 last 10 or 15 years that preventive services have been
17 added by statute by Congress on an individual preventive
18 service basis.

19 As you can see here, some of the areas we now
20 have preventive services in include breast cancer,
21 colorectal cancer, prostate cancer, and cardiovascular
22 diseases. There are still a whole host of preventive

1 services that have not been implemented or covered under
2 Medicare. I think genetic testing as a preventive
3 modality falls into this area. The statutory authority
4 is not there. We either have to get statutory authority
5 or use what I put on the next slide.

6 Under the Medicare Improvement for Patients and
7 Providers Act of 2008, which passed in July of this past
8 summer, Section 101 gives authority to the Secretary and
9 to CMS to consider additional preventive service benefits
10 through the Medicare national coverage decision process.

11 Interestingly, this is one of the few areas that
12 Congress has actually in the language allowed us to use
13 cost-effectiveness in our decision-making process.

14 This is a very important modality that may
15 allow us to now start addressing genetic testing issues
16 without waiting for Congress to actually give us the
17 specific mandate.

18 As I mentioned earlier, in May of 2009 the
19 MEDCAC will meet again to consider screening uses of
20 genetic testing as a preventive service benefit for
21 Medicare beneficiaries. This MEDCAC will be advising us
22 on some of the ways we might take Section 101 of MIPPA

1 and address some of the issues that you all have been
2 recommending for some period of time.

3 Next slide, please. I want to do a brief
4 overview of what is happening with CLIA. That is another
5 important area that you have tried to get your arms
6 around and made some good recommendations.

7 Under the current CLIA regulations, we continue
8 to certify labs where CLIA is applicable, we update the
9 CLIA database with the various issues that I have listed
10 here, and we provide standards for all moderate- and
11 high-complexity laboratory testing, which includes
12 genetic testing.

13 You may recall that there have been some
14 discussions about whether genetic testing should be
15 separated out as specific different high-complexity
16 laboratory testing. So far our policy has been that
17 whatever applies to other high-complexity testing should
18 apply to genetic testing. If there are relevant things
19 to genetic testing that could apply to other high-
20 complexity testing, we should align those, but we have
21 not found a reason yet to separate genetic testing out as
22 a special circumstance.

1 Next slide, please. To promote a high-quality,
2 expert level of laboratory performance we have been
3 meeting with federal agency partners, represented here,
4 with other professional societies, advisory and standard-
5 setting groups, and other partners and stakeholders. We
6 will continue to do that, particularly with guidance from
7 this Advisory Committee.

8 Next slide, please. We are continuing to try
9 to adapt current regulations to the changing needs of the
10 laboratory testing industry. I think this is something
11 we have to continue to work on with this Advisory
12 Committee and our CLIA folks, who have been very open to
13 this. We will be talking more over the next year or two
14 about how we can update our current regulations to meet
15 the needs of genetic testing.

16 Next slide. We have some additional CLIA
17 projects that I have listed here. I won't go into those
18 in detail. They are in your paper.

19 The next slide, please. This is, again,
20 educational standards writing, revision of regulations,
21 and so forth.

22 Next slide, please. What about going into the

1 future, looping back full circle to national coverage
2 decisions. Currently, we have a pending national
3 coverage decision on genetic testing for Warfarin
4 responsiveness. In August 2008 we opened a national
5 coverage decision, at the national level again, to
6 consider coverage for genetic testing to determine
7 Warfarin responsiveness. We had a technology assessment
8 done through the Agency for Healthcare Research and
9 Quality. They evaluated current evidence from published
10 articles. We anticipate that our proposed decision memo
11 should be out relatively soon, no later than early May of
12 2009, to meet statutory deadlines.

13 I was hoping we would have this out by this
14 meeting, but we don't so I'm not at liberty to discuss
15 what the proposed decision may be. I can say, again
16 looping back to what I said earlier, under our coverage
17 decision process with that term "reasonable and
18 necessary," things have to lead to an improvement in
19 health outcomes. Just measuring a porcelain level to
20 determine whether porcelain is high or low in a body is
21 not sufficient, even if it is a safe test and it is an
22 effective test. It has to be able to be used for health

1 outcomes improvement.

2 The key question in whether we are going to
3 cover genetic testing to determine Warfarin
4 responsiveness is, does the evidence show that the use of
5 that test leads to improved outcomes in patients who are
6 placed on Warfarin.

7 There will be a proposed decision put out. Be
8 on the lookout for that. Public comment is then engaged
9 for 30 days after the proposed decision is there. We can
10 change our proposed coverage decision if the public input
11 is sufficient to sway things. That can include new
12 evidence, evidence that was not brought to our attention,
13 or perhaps pointing out that we misinterpreted evidence
14 that was used in any coverage decision. That is soon to
15 come.

16 Next slide. People should know that at all
17 times we invite public participation in determining and
18 prioritizing topics for consideration of NCDs. Anyone
19 can request a national coverage decision. We have had
20 people coming to talk to us about genetic testing,
21 particularly in the area of pharmacogenomics and
22 screening for heritable forms of cancer. We are open to

1 having questions about these and [suggestions as to] how
2 people might go about proposing national coverage
3 decisions to be open.

4 The key stumbling block there is that people
5 have to present to us at least some preliminary evidence
6 that might be interpreted in a way to actually verify
7 that we should have a national coverage decision on a
8 specific test. You can't just send in a comment saying,
9 "We would like you to consider such and such," without
10 having done some homework and giving a reasonable
11 possibility that we might be able to make a coverage
12 decision. We can't do all of the research involved with
13 that up front.

14 Next slide, please. Complementary to this, we
15 published, in December of 2008, on our website, 20
16 potential national coverage decisions that we put out for
17 public comment in terms of things that we came up with
18 based on suggestions from people in the general
19 stakeholder work or that we did with our internal
20 brainstorming.

21 Two of the areas that we put here that we think
22 are ripe for potential NCD topics include gene expression

1 profiles in oncology, and also pharmacogenomic testing.
2 As I said, we already have the Warfarin testing decision,
3 but there are obviously other ones that we could consider
4 under these two entities.

5 I think we have given notice that we are going
6 to be considering, going forward, areas in genetic
7 testing for national coverage decisions. These would be
8 the two areas that we would propose doing so.

9 Next slide. This just lists for you some of
10 what we take into consideration when considering possible
11 future NCDs.

12 Next slide. I think this is the end. These
13 are references to what I had before.

14 The final slide is contact information if you
15 want to get a hold of me.

16 In conclusion, we are doing a lot of things.
17 Two years ago, we were hardly doing anything at CMS on
18 this because we were focused on other issues. This is so
19 important, and this Committee has brought increased
20 awareness to us at CMS, as well as other HHS OPDIVs,
21 about the need to focus on these issues. I hope you are
22 reassured that we are doing something. We have a lot

1 more to do, and with your help I think we will do the
2 best we can to address these issues.

3 Steve, I'm open to comments and questions.

4 DR. TEUTSCH: Thank you very much, Barry. That
5 is extremely helpful. I think those of you who have been
6 with this Committee for a while will recognize the
7 responsiveness that CMS has undertaken to many of the
8 things that have come before this Committee.

9 I think some of the clarifications about what
10 are the things within your span of control and what are
11 the things that are a little beyond those and more in the
12 domain of Congress will be very helpful. We really
13 appreciate the level of responsiveness and particularly
14 your leadership in moving those things forward. These
15 are many of the things we have had conversations about.

16 It is really terrific to see some of these
17 actions and, particularly near and dear to my heart, the
18 MEDCAC expanding its role from not just reviewing
19 specific coverage decisions but beginning to look at the
20 criteria. That will be extremely helpful not only for
21 those helping inform those decisions but, I think, for
22 helping people who need to develop tests to get a better

1 handle on the kind of evidence that is going to be
2 necessary.

3 Many thanks to you for all of that. Do you
4 have a moment to take a couple of queries?

5 DR. STRAUBE: Yes.

6 DR. TEUTSCH: Great. Marc, do you want to
7 start?

8 **Question-and-Answer Session**

9 DR. WILLIAMS: I have two comments and a
10 question. I certainly share Steve's congratulations on a
11 really nice presentation about what you are doing.

12 The first comment relates to personal
13 experience with local coverage decisions. I was on the
14 Wisconsin Carrier Advisory Committee for 14 years,
15 understanding that there is a high degree of variability
16 about the quality of carrier advisory committees and
17 whether or not the local carriers are doing it as a pro
18 forma to be compliant with the regulation or actually
19 using it.

20 I will use the cytogenetics as an example. It
21 was our local carrier that really initiated a revision of
22 cytogenetic coverage to reflect the current use of that

1 within medical care as opposed to the historical use in
2 the statute and regs.

3 I think the advantage that the local carriers
4 have, if they are constituted correctly, is the ability
5 to be much more nimble. If you look at large companies
6 that are innovative, the innovation usually does not come
7 from the top. It usually comes from units within the
8 company that bubble things up.

9 One of the changes that occurred over the 14
10 years that I was on there was the venue for the medical
11 directors of the local carriers to get together and talk
12 about what they were doing with local coverage decisions.

13 If a number of people were grouping around a certain
14 area, they could actually identify topics that could then
15 bubble up to the MCAC at that time. That was dismantled
16 at some point in the early to mid '90s.

17 It seems that that is an opportunity that we
18 really should look to take advantage of. It certainly
19 opens the opportunity for self-interest. It was
20 interesting in our group that if somebody came and
21 presented something that was clearly self-interested and
22 not based on evidence and guidelines, it was the

1 physicians around the table that did the policing of
2 that. It was not the carriers. I think it potentially
3 could work.

4 The second comment is, as a medical director of
5 an insurance company for a period of time, I struggle
6 with the same issue of definition of medical necessity
7 and reasonable and necessary. I did find a pragmatic
8 definition. It was Lewis Carroll. To paraphrase Humpty
9 Dumpty, medical necessity means exactly what I say it
10 means, neither more nor less. I think that actually does
11 reflect how we use that term.

12 Finally, more seriously, the question relates
13 to the upcoming meeting of the MEDCAC in May of 2009. As
14 you are aware, one of the recommendations from the
15 Coverage and Reimbursement Report was to specifically
16 engage the MEDCAC around evidence-based family history
17 and analyzing whether or not it would be possible to take
18 family history where there is evidence and in fact
19 recommendations that this is important in terms of
20 generating testing. That should be used as, if you will,
21 a surrogate for a history of disease.

22 Is family history in bounds or out of bounds in

1 the upcoming meeting? I would just point out that, for
2 breast and ovarian cancer testing in particular, there
3 isn't a recommendation relating to family history as
4 defined within USPSTF that would meet the criteria that
5 you list there. I'm very curious as to whether or not
6 this would be an opportunity to actually act on that
7 recommendation from this group.

8 DR. STRAUBE: That is a great point, Marc. It
9 is timely in that we haven't fully set all of the agenda
10 and the content of that meeting. In my opinion, that is
11 one of many topics that it would be helpful to get some
12 input on, even if it is preliminary.

13 I think the family history issue is still
14 extremely problematic. It makes logical sense in just a
15 discussion about the issue, but when you try to
16 operationalize things, particularly when you get into
17 payment and reimbursement that might be based on
18 somebody's recollection or misinformation that has been
19 passed on down in the family, especially as we are having
20 to focus on keeping costs under control here for the
21 healthcare system, that is the most problematic part of
22 it.

1 Jeff Roche, who is my colleague here, will have
2 to make sure we go back and be sure that is included.
3 Thank you.

4 DR. FERREIRA-GONZALEZ: I also want to thank
5 you very much for your very comprehensive presentation.
6 I'm very excited for all the issues that you are actually
7 working on. I'm glad that you are working on all of
8 these.

9 Let me bring a point to light. I have been
10 working with several local Medicare directors in genetic
11 testing throughout the country. I have found a
12 difference not only in the understanding of the use of
13 the testing but also the local policies. One of those
14 policies, for example, will cover genetic testing once
15 per lifetime. When you look at genetic testing, which
16 covers not only heritable diseases but also some somatic
17 changes that you are monitoring, there have been a lot of
18 denials for that type of technology.

19 Since there are significant differences in the
20 local policies throughout the country and some testing is
21 being denied in certain areas, I think that needs to be
22 addressed.

1 Secondly, you have the Center for Technology
2 and Innovation. I was wondering if there is any exchange
3 or interaction between the work done in that group with
4 the directors at the local level to start bringing them
5 up to speed on some of those issues that you are
6 discussing at the national level.

7 DR. STRAUBE: I will take the second one first.
8 There increasingly has been more interaction in that
9 regard. I have been meeting with a lot of outside
10 stakeholders that have input into that. I just met the
11 other day, for instance, with the Association of Academic
12 Health Centers, AAHC. They had some concerns that our
13 clinical trials coverage and payment policies were out of
14 sync and/or inconsistent. So we are going back and
15 trying to get all the folks involved there to make sure
16 we can do that.

17 Similarly, I think that it is a venue where,
18 when we find our payment and coverage is not in sync, we
19 can take it back. First we have to rectify it internally
20 because often it is out of sync because different parts
21 of the agency aren't aligning their policies in the same
22 manner. If we find that they are and it is a problem at

1 the carrier level, then we go back to the carriers.

2 I think that gets back to your first question.

3 One of the factors that generates a national coverage
4 decision is in fact inconsistency among our carriers. If
5 it is brought to our attention that they are implementing
6 policy either out of compliance with national coverage
7 decisions that have been made or if they are not
8 consistent with each other, we may open a national
9 coverage decision to make sure that there is uniform
10 coverage thereafter.

11 If the issue that you are raising is that they
12 have made a national coverage decision but you don't
13 agree with their coverage decision, the first
14 rectification there is to go back to the local carrier to
15 have them reopen their coverage decision if their
16 evidence is wrong. I hope that is clear.

17 DR. FERREIRA-GONZALEZ: The second one is, how
18 do these directors at the local level get ahead or stay
19 abreast of some of these new technologies, not just
20 reacting when it hits their door. How are they starting
21 to advance or get more education or information about
22 what some of the technology centers that you have are

1 actually exploring?

2 DR. STRAUBE: Again, that is a very good
3 question. It is problematic. That is partly based on
4 statutory requirements and partly on regulatory
5 requirements, also.

6 The local carriers, by law, have discretion.
7 Every case that they are reviewing for any coverage
8 decision technically is a local coverage decision. It
9 may not be written down, but they have the authority to
10 look at a given case and interpret Medicare law, et
11 cetera, as to whether Medicare should be paying for a
12 service. Most of the time, obviously, claims come in and
13 they are paid, and people don't look at all the details.
14 They assume that people are doing things correctly.
15 They may drill down into an individual case.

16 The rationale behind having that discretion is
17 that on individual cases they would have some
18 flexibility. We are not able to tell them what to do on
19 each individual case.

20 Since we can't tell them what decision to make,
21 we are also not empowered or authorized to educate them,
22 nor do we have the resources to do so, in terms of

1 keeping up to date on every technology that is out there.

2 That gets back to what I consider one of the weaknesses

3 of the system because they have limited resources, too.

4 It is a problem that I don't have a good answer for.

5 DR. FERREIRA-GONZALEZ: The in-depth knowledge
6 from some of the local advisors vary from place to place,
7 too. There are areas that will have very strong advice
8 from individuals who have a lot of knowledge versus other
9 ones who don't have knowledge in that particular area.

10 DR. STRAUBE: That is correct. I think that
11 some folks would say that the advice that they are
12 getting, too, is biased and subject to conflicts of
13 interest and other issues present there. We are trying
14 to get around that.

15 We have undergone contractor reform over the
16 last year or two, as you probably know. Rather than
17 having carriers and fiscal intermediaries in every state
18 -- and my home state of California had two contractors
19 for a while -- we now have a total of 15 Medicare
20 administrative contractors. It is [reducing the number]
21 of contractors, which should lead to a consolidation of
22 resources and expertise because they are bringing

1 together what used to be separate companies into one big
2 MAC. There are fewer advisory committees, et cetera.

3 In theory, consolidating like that might help
4 improve matters, but that remains to be seen.

5 DR. TEUTSCH: Do you have time for one more?

6 DR. STRAUBE: Yes.

7 DR. DALE: I wanted to ask about the scope of
8 the problem or the database. As a member of the
9 profession and the public, how could I know what is paid
10 for one place or another? Do you have a handle on that?

11 DR. STRAUBE: David, that is another good
12 question. Although it is bulky and cumbersome, the
13 Coverage Database does have tons of information. I go
14 there myself to ask the same question when it is brought
15 to my attention that something is going on in a given
16 part of the country.

17 We need to improve in terms of the workability
18 of that database, but it is a searchable database where
19 you can search pending and final decisions. It looks at
20 national and local coverage decisions. It includes all
21 of the MACs that are out there now and what their
22 policies are.

1 In addition, if you know for your particular
2 region who the MAC is, you can go to their website, which
3 is probably a little more user-friendly for that
4 particular local area. They will refer you in terms of
5 national coverage decisions to the national CMS website.

6 I think there is lots of information there. It
7 is searchable on our website. If you want to get even
8 more in-depth locally, you go to your local MAC website.

9 DR. TEUTSCH: Thank you again, Barry. This has
10 been extremely informative. We look forward to working
11 with you and your colleagues. We get to hear from you
12 again tomorrow, and we look forward to that as well.

13 DR. STRAUBE: Thank you all again. I
14 appreciate this Committee's work very, very much. Dr.
15 Jeff Roche is going to be sitting in for me the rest of
16 today and tomorrow. Jeff was extremely helpful. I gave
17 him the framework and he helped me with the presentation
18 today, as well as several other staff. Thanks again for
19 all your help.

20 DR. TEUTSCH: Thank you. A few quick things
21 before we get into hearing from our other ex officios.
22 First, Sheila Walcoff is here. We introduced you

1 earlier. You have met the Committee before wearing a
2 different hat. Welcome.

3 Speaking of Email and BlackBerries, if we can
4 keep the BlackBerries off the table it would be really
5 helpful.

6 Let us begin by hearing from some of the ex
7 officios. We have time today, and then we will pick up
8 on some tomorrow. Unfortunately, we don't have time to
9 hear from each of the organizations in the kind of detail
10 that we heard from Barry. We have asked folks to keep
11 their comments to three to five minutes, and that is
12 really hard to do. Hopefully we will have a chance to
13 revisit some of that and go over some quick updates at
14 least today.

15 We will start with Kerry Leibig. It is Kerry,
16 right?

17 DR. LEIBIG: It is Kerry.

18 DR. TEUTSCH: Let's go ahead and start with
19 Kerry, who is going to be speaking on behalf of the EEOC.

20 **UPDATES FROM SACGHS EX OFFICIOS**

21 **Equal Employment Opportunity Commission**

22 **Kerry Leibig, J.D.**

1 DR. LEIBIG: Thank you. My name is Kerry
2 Leibig. I'm a senior attorney advisor with the Equal
3 Employment Opportunity Commission. Everyone here
4 probably knows this already, but the EEOC is the federal
5 agency that enforces federal laws prohibiting employment
6 discrimination on the basis of race, color, sex, national
7 origin, religion, age, disability, and in retaliation for
8 protected activity.

9 In 2008, we got a new responsibility when the
10 President signed the Genetic Information
11 Nondiscrimination Act, or GINA as we call it. GINA has
12 two titles. Title I addresses the use of genetic
13 information in the healthcare industry, and it is
14 administered by HHS, DOL, and the Treasury.

15 Title II, which becomes effective on November
16 21st of this year, prohibits the use of genetic
17 information in making employment decisions. It prohibits
18 the deliberate acquisition of genetic information about
19 applicants and employees by employers. It has strict
20 confidentiality requirements for any genetic information
21 that an employer does obtain. Importantly for EEOC's
22 role, it requires us to issue implementing regulations by

1 May 21st, 2009. We are going to make it pretty close, I
2 think.

3 For the past year we have been working on
4 drafting a proposed rule. On March 2nd, just a couple
5 weeks ago, we published that rule in the Federal
6 Register. Prior to publishing the rule we actually had a
7 Commission meeting where we discussed what was in the
8 rule. We heard from some invited panelists about the
9 impact of genetic discrimination in the work place.
10 Those were the first comments we received about the rule
11 that was about to be published.

12 If you are interested in seeing the statements
13 that were made at our Commission meeting as well as a
14 copy of the notice of proposed rulemaking and a question-
15 and-answer document that we drafted that goes over the
16 basics of what is in the proposed rule, you can go to our
17 website, which is EEOC.gov, and click on "Commission
18 Meetings." This meeting was held on February 25th. If
19 you hit that link, it has the notice of proposed
20 rulemaking, the statements that were made at the
21 Commission meeting, and a Q&A document. That is quite
22 helpful.

1 I will tell you now that we will be accepting
2 comments about our proposed rule until May 1st. If you
3 look at the rule itself, it explains how you can submit
4 comments. I suggest that everybody go check it out and
5 submit comments if you have any.

6 The notice of proposed rulemaking is about 60
7 pages, so obviously I don't have time to go into it in
8 much detail. I'm just going to hit the highlights so you
9 have an idea of what we are working with.

10 First of all, both the statute and the proposed
11 rule include a detailed description of what we mean by
12 genetic information. It includes, for example,
13 information about an individual's genetic tests,
14 information about the genetic tests of family members,
15 and information about the manifestation of a disease or
16 disorder in family members or family medical history.

17 The basic rules, as I said, are that Title II
18 prohibits the use of genetic information in making
19 employment decisions. It prohibits employers from
20 deliberately acquiring genetic information about
21 applicants or employees, and it has strict disclosure
22 requirements. If an employer does get hold of genetic

1 information, they have to treat it like confidential
2 medical information.

3 The prohibition on use of genetic information
4 to make employment decisions is absolute. In other
5 words, a covered entity may never use genetic information
6 in making an employment decision.

7 The prohibition against acquiring genetic
8 information does have some exceptions. They are
9 described in detail both in the statute and then in even
10 more detail in the proposed rule. There are six and they
11 are pretty narrow. I'm not going to go into all of them,
12 but essentially, the first one we call the "water cooler
13 exception." That is, if a supervisor overhears
14 coworkers, for example, talking and one of them happens
15 to say, "Oh, my mother just had a test for breast
16 cancer," they have acquired genetic information. There
17 is an exception that says if you acquire it unwillingly,
18 you weren't seeking it but it came into your hearing,
19 that is not going to be a violation of GINA.

20 There are five other exceptions, including
21 things such as if you receive information because someone
22 has asked for leave under the Family and Medical Leave

1 Act. If, in supporting that request, they provide you
2 with genetic information, that is not going to be a
3 violation, either.

4 In general, any deliberate acquisition by any
5 employer who is seeking genetic information would violate
6 GINA.

7 Finally, when employers do obtain genetic
8 information through one of these exceptions, they are
9 required to treat it like any other confidential medical
10 information. They have to keep it in a separate medical
11 file, not mix it with a personnel file. They have
12 limited reasons that they can disclose it that are very
13 similar to those reasons given under the Americans with
14 Disabilities Act. For instance, if a government agency
15 is investigating a violation of GINA, they might need to
16 disclose genetic information to those individuals.

17 The same remedies apply under Title VII. If an
18 employer, employment agency, or labor union are found to
19 have violated Title II, the individual could be
20 reinstated, promoted, or receive back pay, injunctive
21 relief, compensatory damages, punitive damages, unless it
22 is against a government agency. The remedy and

1 enforcement provisions were really modeled on Title VII,
2 with the idea that the EEOC already has expertise on how
3 to deal with and enforce Title VII and hopefully that
4 will be of assistance to us in enforcing Title II of
5 GINA.

6 As I said, the proposed rule is 60 pages.
7 There is a lot of detail. We are accepting comments on
8 it until May 1st. I urge you to check it out and submit
9 comments if you have any.

10 DR. TEUTSCH: Thanks so much. I'm going to
11 move on because we have a lot to cover. I know this is a
12 topic of great importance that we have been really
13 interested in.

14 Just so you know, we have asked each of our ex
15 officios to talk about new programs that they have,
16 particularly related to our mission, and anything they
17 can say about things that they are doing in response to
18 the American Recovery and Reinvestment Act that can be
19 publicly disclosed.

20 Let's move on to Gurneet Randhawa from the
21 Agency for Healthcare Research and Quality.

22 **Update from the Agency for Healthcare Research and**

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Quality

Gurvaneet Randhawa, M.D., M.P.H.

DR. RANDHAWA: Thanks, Steve. Most of you are already familiar with our agency, but our mission is to improve the effectiveness, safety, quality, and efficiency of health care. We do it through looking at the evidence base, improving it as much as we can, evaluating it, and then using that to inform decision-making in a variety of different contexts.

You have in front of you a one-page, double-sided handout that lists all the categories that I thought were conceptually different in which our agency is engaged. The first category is assessing the evidence and evidence evaluation, which is used for making clinical guidelines or recommendations. The two examples that I have highlighted here are the U.S. Preventive Services Task Force that is sponsored by our Agency and also for the EGAPP Working Group that is sponsored by CDC.

I think you will notice the topics for the Task Force are on clinical prevention and the topics for EGAPP are focused more on the treatment and management in the

1 clinical context.

2 Apart from guideline development, we also work
3 with different stakeholders to look at the evidence base.

4 There are different kinds of evidence reports that are
5 done by our Evidence-Based Practice Center Program.

6 Going to Andrea's comment about how we can inform
7 decision-makers about things that are rapidly happening,
8 we have done a couple of reports for CMS on scans and
9 emerging genetic tests in cancerous and non-cancerous
10 conditions which take a broader look at the evidence and
11 are not the in-depth review that most of the evidence
12 reports are. That is one way of trying to inform
13 decision-makers about emerging tests.

14 Another thing I would highlight here is, we
15 have done a fair amount of work on family history. There
16 are two reports that have been put out by the CDC on
17 cancer and family history. One was released in 2007.
18 One will be released in a few days. Some of the
19 information from the report will then be leveraged for
20 another NIH-sponsored project on the state of the science
21 on family history and primary care. There will be a
22 conference scheduled later this year.

1 All of these projects that I mentioned are on
2 evaluating the evidence base, giving the best information
3 to fill the gaps in evidence, or what the quality of
4 information is.

5 We have a heterogeneity of programs that are
6 trying to build a better evidence base, which is the
7 third category here. Some of the programs are contract-
8 based programs with the DECIDE Network; some of them are
9 cooperative agreements called the CERTS, the Centers for
10 Education, Research, and Therapeutics; and some are
11 regular RO1 and other grant-funding mechanisms.

12 The ones that I have highlighted here are
13 projects that are underway. The ones in regular text are
14 the ones that have been finished.

15 The last three categories are on the other
16 page. One category is on disseminating the knowledge
17 from our evidence assessments from new evidence. These
18 projects have been done by the CERTs. Both of them
19 actually were done by one CERT, the one at the University
20 of Arizona, which is a critical partner to us.

21 The fifth category is on implementing evidence-
22 based recommendations into practice. We are funding a

1 project on clinical decision support tools for BRCA
2 testing and for gene expression profiling tests. That is
3 underway.

4 The last category that Barry had mentioned was
5 about conceptual framework and improving methods so that
6 we can have better consistency in how we evaluate and
7 utilize the evidence. We have four different projects
8 that are underway right now. I will stop there.

9 DR. TEUTSCH: Before we move on, anything you
10 can say about the stimulus package and what AHRQ is
11 doing?

12 DR. RANDHAWA: There is a lot of work going on
13 right now.

14 [Laughter.]

15 DR. RANDHAWA: We have been fortunate, in one
16 sense, that we have built a track record on comparative
17 effectiveness for the past three years. The three
18 elements that were built were assessing the evidence
19 base, gathering new evidence, and disseminating the
20 information in a usable format. They will all be
21 players, I think, in the upcoming programs. There might
22 be some new ones, but that will remain the main thrust of

1 what we will be doing in that field.

2 DR. TEUTSCH: Great. Thank you very much,
3 Gurvaneet. Let's turn to Phyllis, who in three minutes
4 is going to say what all of NIH is doing.

5 **Update from the National Institutes of Health**

6 **Phyllis Frosst, Ph.D.**

7 DR. FROSST: Sarah wisely asked that I speak to
8 you about ARA. From my experience, there is little that
9 interests people more about NIH than opportunities for
10 funding, and certainly ARA has really given us a lot in
11 terms of that.

12 NIH received a total of \$10.4 billion. For
13 those of you not intimately familiar with NIH
14 appropriations, in '08, NIH received about \$27 billion,
15 so this is a very substantial proportion of our total
16 budget, which creates both wonderful opportunities and a
17 lot of food for thought about the best way to disburse
18 this money both to achieve the aims of the act itself and
19 to achieve scientific goals.

20 I suppose I should preface any comments and any
21 questions -- that I'm happy to answer once my three
22 minutes are up, and anytime later -- with the statement

1 that the goal of the act is to create jobs across the
2 U.S. This is, unfortunately, not exactly in line with
3 NIH's mandate, which is to further scientifically-
4 centered progress and to foster the health of the
5 American public. So some obvious things are,
6 unfortunately, not that obvious.

7 In terms of how that \$10.4 billion breaks down,
8 there is \$1 billion for extramural construction, repairs,
9 and alterations. This is going to be administered by
10 NCRR, the National Center for Research Resources, one of
11 the 27 NIH institutes and centers. There is \$300 million
12 for shared instrumentation and other capital equipment
13 purchases, again allocated to NCRR for support of NIH
14 activities.

15 Five hundred million dollars goes to NIH
16 buildings and facilities. This and, actually, a little
17 bit of the instrumentation money are pretty much the only
18 thing that stays on campus. Congress, not surprisingly I
19 suppose, funded money that goes out the door to their own
20 districts. In terms of comparative effectiveness
21 research, there is \$400 million that comes to the NIH
22 through AHRQ.

1 If you have been doing the math, I believe what
2 is left over is \$8.2 billion in support of NIH's
3 scientific research priorities. This is divided by the
4 institutes along the lines of how the appropriation is,
5 the same percentages. NHGRI's percentage is about 5
6 percent of the total NIH budget, or about \$127 million,
7 which is about a quarter of our appropriation. That is,
8 again, to be spent over two years.

9 I should point out that two years in government
10 time is not actually two years in time that we are more
11 familiar with. Because we are already about halfway the
12 year, two years is more like 18 months. That money has
13 to be spent and out the door by the end of Fiscal Year
14 2010, which is the end of next September.

15 Of that \$8.2 billion, \$7.4 billion goes
16 directly to the institutes and centers and to the Common
17 Fund, and \$800 million goes to the Office of the
18 Director. That is not including Common Fund money. It
19 is supporting scientifically-related research activities
20 that align with the overall purposes of the act. Again,
21 this is a job and financial stimulus package.

22 In terms of how NIH anticipates the money, I

1 can say that there have been no end of discussions about
2 exactly the right way to do so, as my federal colleagues
3 will no doubt understand. Those conversations are
4 broadly ongoing. NIH learned a lot of lessons from the
5 doubling and is really trying to apply them in the way
6 that is best for the scientific community in this
7 exceptional case.

8 The increase is going to go to funding ROIs --
9 Congress' main priority is always investigator-initiated
10 research -- primarily those that we were not able to pay
11 due to the previous budget constraints, et cetera, but
12 only for two years of funding. Likely scenarios are a
13 smaller amount of specific aims. Again, a four-year R01
14 doesn't compress into two years of research, no matter
15 how many people you have in your lab. That is an
16 editorial comment.

17 DR. FROSST: Supplements as well. NIH is going
18 to fund both administrative and competitive supplements
19 to existing grants, again over a pseudo-two-year period.

20 You have probably heard a lot about challenge
21 grants. They are designed to focus on health and science
22 problems where progress can be expected in two years.

1 I was going to keep this really broad and at
2 the NIH level, but I think there is a lot of really
3 interesting stuff that comes up in these challenge grant
4 topics, including the priorities, and I just wanted to
5 bring out a couple of pieces that I thought this group
6 might find really interesting.

7 Probably the most useful thing, aside from
8 listening to me and any grilling you might like to do at
9 any point, is to go to the NIH website. Whatever we are
10 allowed to say, which increases by the day, is posted on
11 the NIH website.

12 Probably of the most use of these high-priority
13 topics for these broad challenge areas is the area of
14 bioethics. Highlights include areas on informed consent
15 and data access, ethical issues in the translation of
16 genetic knowledge to clinical practice, unique ethical
17 issues posed by emerging technologies, electronic sharing
18 of health information, and recontact issues in genotype
19 and genome-wide association studies.

20 There are topic areas on biomarker discovery
21 and validation, clinical research areas, including
22 integrating cost effectiveness, personalized drug

1 response and toxicity. There is a broad area on enabling
2 technologies and new computational and statistical
3 methods. There is one on enhancing clinical trials
4 specifically for rare disease genetic patient registries.

5 There is a whole area on genomics. I have a
6 laundry list of subtopics around genome-wide association
7 studies, genomics of eye disease, and the list goes on
8 and on. I think the value of me going through it is
9 probably decreasing the more I speak about it, so again,
10 I would encourage you to go to the website. This is all
11 there. There is a broad amount of information that
12 speaks to that. Thank you for your attention. I will
13 conclude there.

14 DR. TEUTSCH: Thanks, Phyllis. I'm sure there
15 is a lot of interest in all of that. The deadline is
16 April 27th, I think.

17 Let's turn now to Robinsue Frohboese from the
18 Office for Civil Rights.

19 **Update from the Office for Civil Rights**

20 **Robinsue Frohboese, J.D., Ph.D.**

21 DR. FROHBOESE: Good morning, everyone. For
22 those members who are new to the Committee, I just wanted

1 to tell you a little bit about our office.

2 We are part of the Office of the Secretary at
3 HHS, and we have two major responsibilities. One is the
4 traditional civil rights responsibilities of ensuring
5 nondiscrimination on the basis of race, color, national
6 origin, disability, age, sex, and federally funded
7 programs through the Department. Our other area of major
8 responsibility is the HIPAA Privacy Rule. You can see
9 that our activities really stretch across the Department.

10 Like other federal agencies, we have been very
11 involved, as of late, both in the Recovery Act efforts,
12 as well as healthcare reform initiatives.

13 Since we last met, we have been involved in a
14 number of activities that I just wanted to highlight for
15 the Committee, because I think they are of direct
16 relevance to the work of this Committee. The first area
17 is that the Office for Civil Rights has a particular
18 responsibility under GINA and the rulemaking process to
19 modify the HIPAA Privacy Rule to ensure that health plans
20 do not use or disclose genetic information that is
21 protected health information for underwriting purposes.

22 That is a more narrow scope than the

1 responsibilities of EEOC, the Department of Labor, CMS,
2 and Treasury, who have the major responsibilities for the
3 employment nondiscrimination and health plan
4 nondiscrimination aspects, but we have been working very
5 closely with EEOC, Labor, CMS, and Treasury in the
6 rulemaking process to ensure that we are coordinated as
7 we move forward.

8 As Kerry said, EEOC was first at the bat with
9 the notice of proposed rulemaking last week. The
10 Department of Labor, CMS, and Treasury issued a request
11 for information last October, with a two-month comment
12 period that ended in December. I think we chatted
13 briefly about it at the last Committee meeting. They
14 have been very involved in analyzing those comments.

15 We at OCR have been working with them as we
16 move forward with issuing a rule under GINA. We are
17 coordinating the timing and expect that certainly by May
18 we both will be issuing regulations that will dovetail
19 with one another.

20 Another major area in which we have been
21 involved is health information technology. As you may be
22 aware, in mid December the Department issued a Privacy

1 and Security Toolkit. OCR was very involved in
2 developing that with our Office of the National
3 Coordinator here at HHS. As part of that toolkit, we
4 have very specific guidance that applies the HIPAA
5 Privacy Rule to various principles of protecting the
6 privacy, confidentiality, and security of electronic
7 health exchanges. We have a particular focus in that
8 guidance on access to records as well as personal health
9 records.

10 We have all of the information posted on our
11 website, which you can easily find at HHS.gov/OCR. We
12 actually have a newly designed website that hopefully
13 people will find very easy to navigate. There is a whole
14 piece on the website on health information technology
15 with this application of HIPAA Privacy Rule principles to
16 electronic health records and electronic information
17 exchanges in the healthcare context.

18 These activities in December were certainly a
19 foreshadowing of what we are currently facing under the
20 Recovery Act. You may be aware that as part of the
21 Recovery Act there is a whole separate act that is called
22 HITECH for short. HITECH actually stands for Health

1 Information Technology for Economic and Clinical
2 Healthcare Act. The HITECH Act is devoted to health
3 information technology and use of health information
4 technology in healthcare reform.

5 There is a particular part of the act that
6 specifically applies to the HIPAA Privacy and Security
7 Rules. That part of the act, Subtitle D entitled
8 "Privacy," requires the Department, and OCR in
9 particular, to take three major activities. The first is
10 to engage in a series of rulemakings both to modify the
11 HIPAA Privacy Rule and to issue a series a guidance.

12 Of note, the HITECH Act now will cover business
13 associates as a covered entity under the HIPAA Privacy
14 Rule. That is a significant new development. It also
15 now requires the Department to promulgate regulations to
16 cover breach notifications to individuals who are
17 impacted by breaches of unsecured information.

18 All of these regulations and guidance that are
19 required under the act will happen within the next 11
20 months. Some of them will occur as soon as next month.
21 We are on a very tight timetable to implement these new
22 regulations and pieces of guidance.

1 The second major area is increased enforcement
2 under both the HIPAA Privacy and Security Rule. The act
3 actually changes the enforcement of the HIPAA Privacy
4 Rule and increases the amount of penalties that we can
5 collect from violators of the rule.

6 Also, for the first time, it gives authority
7 outside of the Department to enforce civilly the HIPAA
8 Privacy and Security Act. It gives the authority to
9 state attorneys general to actually bring actions in
10 federal court, in coordination with the Department, where
11 there are alleged violations of the HIPAA Privacy or
12 Security Rule. That is a whole other area, and the
13 Department of course will be very involved in training
14 state attorneys general to ensure consistency and
15 uniformity in this rule.

16 The third major part OCR's piece and the HITECH
17 Act is in terms of public education. Congress has
18 specifically directed the Office for Civil Rights to
19 engage in a nationwide, multifaceted initiative to
20 educate consumers about uses and disclosures of protected
21 health information, as well as their rights under the
22 HIPAA Privacy Rule. That is something, again, that we

1 need to develop and maintain within the next 11 months.

2 You can see that we will be busy, as will our
3 partners in CMS, who are responsible for the HIPAA
4 Security Rule, and the Office of the National
5 Coordinator. The HITECH Act does institutionalize that
6 office and allows grants for promoting the use of
7 electronic health records and standard-setting in health
8 information technology.

9 By comparison to NIH, this portion of the act
10 is small potatoes, but it is certainly big dollars for
11 the Department. There is a total of \$20 billion under
12 the HITECH Act, the majority of which -- \$18 billion --
13 goes to CMS to distribute to providers to promote
14 adoption and use of electronic health records.

15 There is \$2 billion that comes through the
16 Office of the National Coordinator, and there is a
17 departmental working group that has been set up to
18 develop proposals and a spending plan to bring before the
19 leadership of the Department. That has been meeting on a
20 regular basis to look at all of the spending plans that
21 have come out of the Recovery Act to make determinations
22 about the most appropriate use of the funds.

1 I know we are short of time, so let me just
2 highlight one additional aspect that I think is important
3 for the Committee to know about. In January, as part of
4 the Surgeon General's release of the new Family History
5 Tool, we took the opportunity to also provide information
6 through frequently asked questions about the HIPAA
7 Privacy Rule and how it impacts ability to collect and
8 use information. That also is on our website. I think
9 it is a valuable addendum to the Family History Toolkit.

10 That and other good things are on our website.
11 Hopefully it will give you insights into other
12 activities in which we have been involved.

13 DR. TEUTSCH: Thanks, Robinsue. Obviously,
14 those impact rather broadly. Thank you for that. Why
15 don't we turn to Sarah Botha from the Federal Trade
16 Commission.

17 **Update from the Federal Trade Commission**

18 **Sarah Botha, J.D.**

19 DR. BOTHA: Good morning. My name is Sarah
20 Botha, and I'm an attorney in the Division of Advertising
21 Practices at the Federal Trade Commission.

22 Just to provide a bit of background that

1 probably most of you are familiar with, FTC is a national
2 consumer protection agency. Our mission is to prevent
3 unfair and deceptive acts and practices in commerce.
4 That would include misleading advertising.

5 In the Division of Advertising Practices one of
6 our primary areas of focus is on advertising claims for
7 products that promise health benefits. We think that is
8 particularly important because consumers not only can
9 lose money but potentially have health impacts if they
10 are misled by advertising claims in that area. That
11 includes over-the-counter drug products, dietary
12 supplements, and also direct-to-consumer advertising of
13 genetic testing.

14 My predecessor, Matt Daynard, for whom I'm
15 taking over, worked with FDA and CDC a couple of years
16 ago to put out a consumer-directed educational piece on
17 at-home genetic testing to advise consumers about the
18 current limitations with these tests and what kind of
19 information they can get from those tests. It also
20 recommended that they consult their healthcare
21 practitioner when using and interpreting the results of
22 testing.

1 Consumer education is a big mechanism for us to
2 help prevent consumer deception. In addition to that,
3 obviously we do law enforcement actions. We also work
4 with industry on self-regulation, where possible.

5 In this area currently, we are definitely open
6 to considering additional consumer education and whether
7 we can help consumers with any new information about
8 developments in genetic testing. We do have a couple of
9 inquiries right now with some of the companies that are
10 advertising directly to consumers.

11 The inquiries are not public, so I can't really
12 provide much detail, but we are talking to the companies,
13 reviewing their advertising, and comparing it to the
14 state of the science right now. Hopefully we will be
15 able to have some action this year.

16 DR. TEUTSCH: Great. Thanks, Sarah. Let's
17 turn now to the Department of the VA and Doug Olsen.

18 **Update from the Department of Veterans Affairs**

19 **Douglas Olsen, Ph.D., R.N.**

20 DR. OLSEN: Hi. I'm Doug Olsen from the VA.
21 I'm a nurse and ethicist, and I'm here for Ellen Fox as
22 her alternate.

1 What is going on over at the VA in clinical
2 services is that our Patient Care Services is currently
3 in the process of hiring a director of molecular medicine
4 to oversee and coordinate efforts in the clinical
5 genomics and related areas, proteomics and the other -
6 omics. A well qualified person has been identified and a
7 budget has been allocated.

8 It will be a program to provide education and
9 clinical guidance to physicians, nurses, lab techs,
10 social work, et cetera, as well as education for
11 patients. There are plans to start a central
12 clearinghouse for genetics resources through that office.

13 However, the lead for the program is really just coming
14 on board. It is going to take a couple of years for him
15 to really implement those plans.

16 The Genomic Medicine Program Advisory
17 Committee, which we have reported on here before, was
18 formed in 2006 and has members with expertise in clinical
19 and research aspects of genomics. There is even some
20 overlap between this Committee and that committee.

21 Based on their recommendation, focus group
22 surveys were conducted with veterans to assess their

1 knowledge of genetics and genomics and also their support
2 and expectations for the Genomic Medicine Program. The
3 focus group survey was conducted by the Genetics and
4 Public Policy Center at Johns Hopkins, and the results
5 will be published. I think they are in press and due to
6 be out this spring.

7 This committee will continue to monitor the
8 Genomic Program at VA and provide suggestions about
9 research and clinical programs.

10 There are two programs for IT infrastructure
11 that were recently funded and are in the development
12 phase to database genomic, genetic, and clinical
13 information research and planning. One is the Genetic
14 Information System for Integrative Science, GenISIS, and
15 it will integrate data from individual research studies,
16 both genetic and clinical, to repurpose the data,
17 reanalyze, and produce new funding.

18 The other is called VIICI, Veterans Informatics
19 and Information and Computing Infrastructure. That will
20 integrate existing databases as well as new data to
21 extract information and meaning. It will provide data in
22 a secure, high-performance computing environment.

1 As far as education, VA is supporting a program
2 with the National Coalition for Health Professional
3 Education in Genetics, NCHPEG, to develop an interactive
4 educational program on familial syndromic colorectal
5 cancer. The content will include pathophysiology, risk
6 assessment based on family and medical history,
7 screening, management, testing, and counseling. It is
8 intended for a wide audience of healthcare professionals.
9 It will be Web-based, and it is scheduled to be ready to
10 pilot-test by the end of the fiscal year.

11 As for research over at VA, in 2008 there was a
12 funded genome-wide association study on amyotrophic
13 lateral sclerosis to examine gene-environment
14 interactions in the development of the sporadic form of
15 that disease. There are also planned system-wide studies
16 in Parkinson's disease, PTSD, mental illness, diabetes,
17 breast cancer, and pharmacogenomics, amongst other
18 things.

19 There are also over 140 investigator-initiated
20 merit-reviewed projects related to genomics on a wide
21 spectrum of conditions prevalent in veterans, including
22 schizophrenia, PTSD, bipolar, Alzheimer's, cardiovascular

1 disease, diabetes, substance abuse, stroke, chronic viral
2 infections, autoimmune disease, Gulf War illness, and
3 cancers of the prostate, breast, colon, bladder, and
4 lung. Those are the things that are going on over at VA.

5 DR. TEUTSCH: Terrific. Thanks, Doug. Naomi
6 Goldstein from the Administration for Children and
7 Families.

8 **Update from the Administration for Children and Families**

9 **Naomi Goldstein, Ph.D.**

10 DR. GOLDSTEIN: The Administration for Children
11 and Families is part of the Department of Health and
12 Human Services. It is more or less the "HS" in "HHS."
13 We are a human services agency, and we include the TANF
14 public welfare program, Head Start, Child Support
15 Enforcement, Child Welfare, Child Care, and a large
16 number of smaller programs.

17 I'm new to the Committee. I have been
18 impressed with the range and number of departments and
19 agencies for which the Committee's work is relevant. It
20 is not yet clear to me the extent to which the work of my
21 own agency is relevant for the Committee, but I certainly
22 stand by to be helpful if I can.

1 Just for your information, the Recovery and
2 Reinvestment Act does provide funding for eight ACF
3 programs. That includes Early Head Start. The act more
4 than doubles the size of the Early Head Start Program for
5 kids aged zero to three.

6 There is funding for childcare subsidies for
7 the TANF welfare program, for Child Welfare, for Child
8 Support Enforcement, and for a new initiative to build
9 capacity in nonprofit organizations. I will leave it
10 there.

11 DR. TEUTSCH: Great. Thanks, Naomi. Peter, do
12 you want to talk a little bit about what is going on at
13 the Department of Energy?

14 **Update from the Department of Energy**

15 **Peter Kirchner, M.D.**

16 DR. KIRCHNER: I'm Peter Kirchner. I represent
17 the Department of Energy, specifically the Office of
18 Science's Office for Biological and Environmental
19 Research. We support research at universities and
20 Department of Energy Laboratories in a variety of areas,
21 including molecular biology directed at DOE missions,
22 currently primarily in bioenergy, waste cleanup, and

1 carbon sequestration.

2 We support a small program in radiochemistry
3 research and radionuclide imaging instrumentation that in
4 the past created much of the scientific underpinnings for
5 nuclear medicine. This program is being reoriented
6 toward more focused support of the bioenergy and
7 environmental remediation projects that we are now
8 focusing on.

9 We also have a small program devoted to low-
10 dose radiation biology research, which hopefully might
11 actually come up with information regarding genetic
12 susceptibility to radiation-induced cancer, which would
13 be, of course, very nice. Apart from this, we have very
14 little else of pertinence that relates to human medicine
15 and genetics.

16 We have had a program called ELSI, Ethical,
17 Legal and Societal Issues, that has been active since
18 about 1990, two or three years after the initiation of
19 the Human Genome Program. In the past the ELSI Program
20 has focused on genetic privacy, education, and
21 intellectual property protections, but it has not
22 endeavored to support studies in the broad portfolio of

1 potential issues.

2 The DOE's ELSI Program is now transitioning to
3 new aims, namely to support bioenergy sustainability
4 issues, synthetic biology, and nanoscience, things that
5 are of great importance to DOE's current mission.

6 Our office does, however, support the
7 Department of Energy's Human Subjects Protection Program,
8 which is responsible for all human subjects protection in
9 all DOE sites and any research done with DOE funds. It
10 is this program that does intersect somewhat with
11 research that is directed at genetic testing, primarily
12 in two large cohorts that have been studied through DOE.

13 One of them is the long-term monitoring of
14 atomic bomb survivors in Japan, initially under the
15 Atomic Bomb Casualty Commission and, since the mid '70s,
16 under the renamed Radiation Effects Research Foundation.

17 This looks at health effects both on the survivors as
18 well as the children of survivors. There is genetic
19 research now being done to try to correlate the health
20 outcomes of the radiation effects with potential genetic
21 markers.

22 Another large cohort that is within DOE deals

1 with the major and lasting charge for monitoring worker
2 safety. Since the establishment of the Atomic Energy
3 Commission following World War II, DOE has had major
4 responsibility for nuclear materials, nuclear weapons
5 manufacturing, and the related hazards that have been
6 associated with a variety of job-related illnesses.
7 These are being actively monitored through health
8 programs.

9 A number of universities and outside agencies
10 are mining this information and relating some of the
11 results of these environmental effects to genetic testing
12 in recent times. So we do oversee these areas, but apart
13 from that we do not have a specific program ourselves in
14 this area.

15 Of course, as you know, there are various
16 preliminary results regarding potential genetic
17 susceptibility to various things such as lung disease.
18 That, I think, summarizes our current activities. Thank
19 you.

20 DR. TEUTSCH: Thanks, Peter. We obviously have
21 only gone through some of the agencies, and it is great
22 to see both the breadth and depth of all the things that

1 are going on. Having cut Marc off earlier and knowing we
2 only have a few moments, if there are some specific
3 questions for today's speakers, let's take advantage of
4 the few moments we have to raise them. Marc Williams.

5 **Question-and-Answer Session**

6 DR. WILLIAMS: This is directed to Kerry and to
7 Robinsue, and it relates to what I perceive as an overlap
8 between Title I and Title II of GINA. That relates to
9 self-insured employers, who have not only the traditional
10 role of the employer but also insure their workers
11 through a self-insurance. There are a variety of
12 mechanisms under which that insurance is administered,
13 but they do have rights to certain aspects of protected
14 health information. I'm just curious how your two groups
15 are interacting around that area.

16 DR. LEIBIG: There is what we call a firewall
17 between Title I and Title II which says that for any
18 remedy that you get under Title I for a health insurance-
19 related violation, you cannot make a claim under Title
20 II. One of the things we have asked for comments about
21 in our notice of proposed rulemaking is thoughts about
22 how the firewall might be further explained.

1 There certainly was an understanding in
2 Congress that they wanted to avoid double liability, I
3 guess you would say, and there are methods being
4 developed to do so.

5 If an employer makes an employment decision
6 that involves health benefits, that would be covered
7 under Title II because Title II prevents discrimination
8 in any employment-making decision, which could include
9 health benefits. For example, if they decided not to
10 hire someone because genetic information that they had
11 made them believe that they would have to pay more for
12 their health insurance, that would be a Title II
13 violation.

14 In the situation you described where they also
15 would be making decisions as a health insurer, any
16 decisions that they made in that role would be covered by
17 Title I.

18 DR. FROHBOESE: I think you summed it up very
19 well. It has been a topic of ongoing conversation, as
20 Kerry said, and one which they are looking for comments
21 on in terms of the EEOC proposed rule.

22 DR. LEIBIG: When we were writing the proposed

1 rule, we engaged in many, many months of interaction with
2 the Title I agencies and OMB to make sure that we
3 addressed that problem. In fact, that is why we haven't
4 published it until now.

5 DR. FITZGERALD: Just a quick question for
6 Sarah. When you talk about the FTC regulating health
7 claims, what definition of "health" do you use? Do you
8 talk to the other agencies about what that might be?

9 DR. BOTHA: Yes, we talk to other agencies. I
10 don't know if I'm going to answer your question about our
11 definition of "health" very well.

12 We have memoranda of understanding with FDA,
13 for instance, on drug advertising, where FDA regulates
14 prescription drug advertising and we regulate over-the-
15 counter drug advertising. Generally, we would defer to
16 FDA's interpretation of the scientific standards because
17 we are really not a scientific agency. We might retain
18 experts on particular issues, but we certainly consult
19 with other agencies on those issues.

20 DR. TEUTSCH: One last question or comment?

21 [No response.]

22 DR. TEUTSCH: We also, obviously, can invite

1 some of these folks back because there are lots of meaty
2 topics here for future meetings.

3 Thank you to all of you. It is very gratifying
4 to see all the work that is going on throughout your
5 organizations. We will hear from several of the others
6 tomorrow in the few moments that we have.

7 We will break for lunch. For those of you who
8 ordered box lunches, they are available outside. For
9 those of you who didn't, the cafeteria is just down the
10 hall. We will reconvene at quarter of one.

11 [Lunch recess taken at 11:58 a.m.]

12

+ + +

1 We welcome your comments. Please go ahead.

2

PUBLIC COMMENTS

3

Comments by Theresa Lee

4

Advanced Medical Technology Association (AdvaMed)

5

MS. LEE: Thank you. Good afternoon. My name
6 is Theresa Lee, and I'm here on behalf of AdvaMed, the
7 Advanced Medical Technology Association. AdvaMed
8 represents the medical device and diagnostics products
9 industry.

10

AdvaMed's members constitute nearly 90 percent
11 of the healthcare technology purchased annually in the
12 United States and more than 50 percent purchased annually
13 around the world. Our members range from the largest to
14 the smallest medical technology innovators and companies
15 and include a significant number of in vitro diagnostics
16 firms that are hard at work developing and refining tests
17 that are used in all settings -- physician offices,
18 hospitals, clinical laboratories, at the bedside, and at
19 home -- to provide the information health professionals
20 need to prevent, diagnose, treat, and manage disease.

21

Over the years, AdvaMed has followed and
22 supported the work of this Advisory Committee, especially

1 your work on the issues surrounding patient access to
2 genetic tests, your interest in the way tests are
3 evaluated, and your attention to the methods insurers use
4 to make coverage and payment determinations. We have
5 offered our support by providing comments to your staff
6 on draft reports, by sharing analyses we have
7 commissioned, and by supporting you and your mission.

8 I have several points to make today. First,
9 I'm here to let you know that AdvaMed supports reform of
10 the U.S. healthcare system in order to achieve expanded
11 patient access to quality care at an affordable price.
12 Because healthcare providers rely on clinical diagnostic
13 laboratory tests to inform and guide much of the care
14 that they deliver, these tests play a critical role in
15 determining whether we will achieve a more efficient and
16 affordable healthcare system, whether we will achieve
17 better quality outcomes, and whether we will meet patient
18 needs.

19 We ask the members of this Advisory Committee
20 to work closely with the White House and HHS officials to
21 develop a reform plan that builds on the promise that
22 diagnostic tests offer.

1 In particular, we urge you to continue to point
2 out the need for health care that is both personalized
3 and preventive. We are convinced that diagnostic tests,
4 which currently account for only 2.3 percent of U.S.
5 healthcare expenditures and about 2 percent of Medicare
6 expenditures, can play a central role in heading off and
7 preventing disease. As you know, prevention is regularly
8 included as an essential component of a reformed
9 healthcare system.

10 We think up-front spending for promising
11 prevention and screening services, services not typically
12 covered by insurers due to their focus on reactive care,
13 will pay dividends over time.

14 This group understands fully how new advanced
15 diagnostic tests that harness molecular, genomic, and
16 proteomic technologies can help predict an individual's
17 response to therapy, how they can lead to a better
18 assessment of patient risk for developing diseases like
19 cancer or diabetes, and how they can identify the
20 biological mutations that are the markers of disease. We
21 need to take steps to ensure that the proper incentives
22 exist to encourage their development and use.

1 This leads me to my second point, the need for
2 a modernization of the Medicare clinical laboratory fee
3 schedule. We are pleased that you have identified
4 coverage and reimbursement as a high-priority issue for
5 the Advisory Committee. We believe that reform of the
6 current Medicare payment system for clinical diagnostic
7 tests is long overdue. Its shortcomings have been
8 documented in numerous blue ribbon reports and studies,
9 including your 2006 report. Because it serves as a
10 benchmark for private payers, the Medicare fee schedule
11 impacts the entire healthcare system.

12 What is most troubling to us is that the
13 promise we see for advanced diagnostic tests in advancing
14 personalized and preventive medicine will not be realized
15 unless we put into place proper mechanisms to cover and
16 set rates for new molecular tests.

17 Medicare needs to find ways to draw on the
18 expertise of the laboratory community to factor in the
19 value of these new tests and to set payment rates that
20 spur continued innovation.

21 Third, we commend you for identifying the
22 evidentiary issues associated with assessing the utility

1 of diagnostic tests as a priority matter for the Advisory
2 Committee. Diagnostic tests pose difficult challenges
3 for technology assessors, and we believe that current
4 evidentiary standards used to evaluate therapeutic
5 products and procedures may not be appropriate for
6 diagnostics. We hope that your attention to this matter
7 will lead to more appropriate standards.

8 I would like to conclude my remarks by
9 reminding this Advisory Committee that it has been nine
10 years since the Institute of Medicine completed its
11 assessment of Medicare laboratory payment policies. The
12 report the IOM published on this effort called for a
13 series of fundamental reforms of Medicare's clinical
14 laboratory fee schedule, most of which have gone
15 unaddressed.

16 The report also warned that problems with the
17 outdated payment system could threaten beneficiary access
18 to care and the use of enhanced testing methodologies in
19 the future.

20 AdvaMed believes that the current Medicare
21 payment system for tests is a poor foundation for new
22 molecular tests, including genetic tests. The enhanced

1 testing methodologies referenced in the IOM report are
2 here today, and both device innovation and patient access
3 are threatened if we do not correct the way new tests are
4 valued and priced. Thank you for your time today.

5 DR. TEUTSCH: Great. Thank you very much.
6 Obviously, we share many of those concerns. We had a
7 long discussion this morning with Dr. Straube. We talked
8 a fair bit about this is going to move forward. I wanted
9 to ask you one question that relates to all of this,
10 particularly since you emphasized the prevention
11 component. That is the one area, of course, where CMS
12 can use cost effectiveness analysis.

13 As we move to an era where clinical utility is
14 going to be the sine qua non of what gets done and we see
15 all the comparative effectiveness legislation that
16 hopefully will help inform us and will also provide some
17 direction to industry as to the kind of information that
18 is going to be needed, I wonder if you could reflect upon
19 what the industry can do to help us get the cost
20 effectiveness information that is going to be needed to
21 make the compelling case to move that field along.

22 MS. LEE: At AdvaMed, we are very strong

1 proponents of trying to show the value of technology. We
2 have an entire Value of Technology campaign. One of the
3 ways we do try to show value of certain technologies is
4 to look at cost effectiveness. We do not think that cost
5 effectiveness should be used as a general matter in
6 making coverage decision-making, but we are aware that
7 under the MIPPA provision that Dr. Straube referenced
8 this morning that outcomes and expenditures are a
9 consideration and that it may be appropriate in that
10 context under MIPPA to look at cost effectiveness.

11 In the context of diagnostics, we are actually
12 in the process of working with ACOA on commissioning a
13 white paper specifically to look at the value of
14 screening. It gets at this issue of trying to make sure
15 that we are looking at prevention and integrating in
16 vitro tests into that picture so that we maximize the
17 value of many tests that are simply under-used today.

18 In terms of delivering the kind of information
19 that you are talking about, Dr. Teutsch, I think that we
20 will be touching upon cost effectiveness of certain key
21 tests. I think that we are going to be featuring four
22 specific case examples of screening tests, and cost

1 effectiveness will be one of the considerations. So we
2 are going to try to deliver that information to you.

3 DR. TEUTSCH: Any other comments?

4 [No response.]

5 DR. TEUTSCH: Thank you very much. We look
6 forward to continuing to work on these challenging
7 issues.

8 MS. LEE: Absolutely. Thank you very much.

9 DR. TEUTSCH: Any other public comments that
10 I'm not aware of?

11 [No response.]

12 DR. TEUTSCH: Then we will move forward. At
13 our last meeting, in December, we discussed one of the
14 new priority topics, which was the consumer-initiated use
15 of genomic services. We decided we should review some of
16 the recent activities and developments in the field and
17 see how the Committee can contribute to the current
18 debate and discussion.

19 We have invited several speakers to update us
20 on their activities in this area. Sylvia Au, who led the
21 Committee on this priority, will lead this discussion
22 this afternoon. Sylvia, it is all yours.

1 **CONSUMER-INITIATED USE OF GENOMIC SERVICES**

2 **Session Overview and Purpose**

3 **Sylvia Au, M.S., CGC**

4 MS. AU: Thank you, Steve. You know how
5 important direct-to-consumer is when our esteemed
6 colleague Jim Evans is quoted in a magazine on direct-to-
7 consumer genetic testing. He is quoted in an article
8 titled "Tempted by At-Home Gene Tests." He says,
9 "Without guidance testing results are, arguably,
10 worthless," which is a typical Jim statement, for those
11 of you who know Jim.

12 [Laughter.]

13 MS. AU: The purpose of this session is to
14 provide an update on government and private sector
15 activities related to direct-to-consumer genomic services
16 since the session on personal genome services that we had
17 in July 2008. After the speakers, we are going to be
18 looking at some short-term action steps that the
19 Committee might like to consider to help address some of
20 the issues around direct-to-consumer genomic testing.

21 Our first speaker is familiar to all of us. It
22 is Greg Feero. He comes to us from the NIH National

1 Human Genome Research Institute, and he is the chief of
2 the Genomic Healthcare Branch.

3 **Outcomes of an NIH-CDC Workshop on Personal Genomics**

4 **(December 2008)**

5 **William (Greg) Feero, M.D., Ph.D.**

6 [PowerPoint presentation.]

7 DR. FEERO: Good afternoon. Thank you for
8 having me before you. I'm actually a substitute for Muin
9 Khoury, who could not be here today to present this. I
10 think that most would agree that probably this meeting
11 that I am about to report on was largely his brainchild.

12 I am going to talk to you briefly about a
13 meeting that was held on December 17th and 18th at the
14 NIH, sponsored in part by the CDC as well, to look at the
15 scientific foundation for the most recent wave of direct-
16 to-consumer testing vis-a-vis the genome scan type of
17 technologies.

18 To give you a little bit of context for the
19 meeting, personal genome-wide scans have become quite
20 inexpensive. The cost is going down, it seems, on a
21 quarterly basis. They are directly available to the
22 public.

1 The research discoveries that are coming from
2 genome-wide association studies that relate to the
3 genetics of common complex disorders are very rapidly
4 being moved from the research setting directly to a place
5 where they can be marketed to the public and also to
6 healthcare professionals. Sometimes this isn't even
7 within days of publication, it is the same day of
8 publication, as was the case for some recent prostate
9 cancer discoveries.

10 Obviously, there is vigorous debate about how
11 and when to translate these types of research discoveries
12 from genome-wide association studies to healthcare
13 applications to make them available to the public. This
14 Committee has talked about many of these issues in great
15 detail over time.

16 The particular meeting that occurred on
17 December the 17th and 18th really focused largely on the
18 issues of clinical validity, clinical utility, and
19 education, I would say. Some of the other issues,
20 although recognized as being very important, were not
21 really a central focus of the meeting.

22 I think for everyone that was present at the

1 meeting the goal was to take the complex scans, who are
2 in this far realm of potentially dubious use in clinical
3 care and for healthcare purposes, and really migrate them
4 back, through developing an evidence base, to a position
5 here on this scale where they actually become a part of
6 preventive services.

7 As I mentioned, the meeting was sponsored by
8 the NIH and the CDC. A really major co-sponsor was the
9 National Cancer Institute. The National Heart, Lung, and
10 Blood Institute also participated, as well as the NHGRI.

11 The meeting itself was a two-day event. There
12 were approximately 100 attendees. It was a jam-packed
13 agenda. There were 40 speakers and panelists. I'm
14 afraid some of the speakers were quite frustrated because
15 they were given a very short time period to get very
16 complicated stuff across, but there was ample time, I
17 think, for discussion in many of the sections. That was
18 part of the reason the speakers had such a short time to
19 actually speak.

20 Diverse perspectives were presented, including
21 government, academic, and industry perspectives. There
22 was a blend of both didactic presentations and mediated

1 discussion panels on the topics at hand.

2 It was broken down into several sessions. I
3 will just go quickly over those and the people that
4 chaired them. The first was getting people on a level
5 playing field with regard to the basics of genetic and
6 genomic profiles and risk assessment in personalized
7 health. That session was mediated by Greg Downing.

8 The next really dealt with the scientific
9 foundation for which the variants could be included in
10 genome profiles and essentially dealt largely with the
11 issues surrounding clinical validity of the markers.

12 I think most people at this meeting felt that,
13 at least for the major purveyors of the genome-wide
14 scans, the analytic validity was not so much in question
15 for the markers. The clinical validity is really where
16 the discussion started.

17 Then there was a large discussion about how you
18 go about establishing the clinical validity and utility
19 of genome profiles.

20 The following day there was further discussion
21 around case studies for clinical validity and utility, a
22 discussion of models that could be used that go beyond

1 the randomized control trial to demonstrate clinical
2 utility, and then, finally, a discussion of next steps.

3 The most immediate next step from the meeting
4 was the development of a manuscript based on the content
5 of the meeting. That is currently in preparation. I
6 believe it is slated already for one of the major
7 genetics journals. I thought I would go briefly over the
8 five main points that came out of the meeting.

9 The first, and you will hear more about this
10 this afternoon from Amy Miller from the PMC, is that
11 there was a general consensus -- and there was already
12 movement in this direction prior to the meeting -- that
13 the industry itself that is offering these types of tests
14 should work to develop industry-wide scientific standards
15 for personal genomics. That really has to occur in
16 partnership with other groups besides industry because a
17 lot of the information that the industry relies on to
18 make their risk assessments is generated from studies
19 that are well beyond their means to conduct on their own.

20 The next is to develop and implement a
21 multidisciplinary research agenda. It was recognized at
22 the meeting that no one organization or one bin of

1 science would be sufficient to move the ball forward in
2 terms of understanding the utility of genome-wide
3 profiles. Novel public-private partnerships would have
4 to be developed that encompass folks from multiple
5 disciplines and perspectives to move this forward. To
6 some extent, the GaapNet proposal brought forth by Muin
7 Khoury as a potential architecture for public-private
8 partnerships, was also discussed.

9 Another is, enhance credible knowledge
10 synthesis and dissemination of information to providers
11 and consumers. This is really to reinforce a lot of the
12 work that AHRQ, EGAPP, and others have been trying to do.

13 It was discussed extensively that providers,
14 policymakers, the public, and public health officials all
15 need unbiased sources of information that are truly
16 accessible for this type of testing. That accessibility
17 means not only from a literacy standpoint but also
18 accessible from a cost standpoint.

19 There was also a feeling that not only do you
20 need to have the information but that there needs to be
21 somebody that is familiar with the ins and the outs of
22 this type of testing that could actually make

1 recommendations based on the information. That would
2 take the public and the providers out of having to be the
3 absolute experts on the information and allow them to be
4 at the 10,000-foot level when trying to make an
5 assessment with regard to the utility of this type of
6 testing.

7 Finally, there was a substantial discussion
8 about the definition of clinical utility and what all
9 that means. I think there is a growing understanding
10 that these tests may have value beyond the immediate
11 clinical setting but extends into the individual's own
12 perceptions and behaviors that isn't directly clinical.
13 There was a feeling that this is almost certainly true
14 but right now there aren't very good objective measures
15 that can be used to determine the absolute value of this
16 personal utility. Therefore, it is very hard to study
17 and make recommendations about its magnitude of value in
18 healthcare systems or society in general.

19 I would like to conclude just by saying that
20 the slides from the meeting are all available. In your
21 handout you should have this slide showing the .gov
22 website. I think you will find a wealth of information

1 there. It really was quite a rich conference.

2 I would be happy to take questions, if that is
3 permitted. I will try to answer them. Since I'm not
4 Muin, it may not be possible.

5 **Question-and-Answer Session**

6 DR. EVANS: Greg, would you go into this a
7 little more? I'm frustrated by this notion of personal
8 utility.

9 My analogy with that is that many people in the
10 U.S. would claim that their horoscope has personal
11 utility. The problem with that concept of personal
12 utility is that by its very nature it is a way to get
13 around objective standards. While people may find
14 horoscopes personally useful for a variety of reasons, I
15 don't think in the absence of objective data it holds any
16 water. I hate to see the discussion about personal
17 genomics derailed and diverted by what I think is an
18 intentionally obscured notion.

19 DR. FEERO: Obviously, I can't fully address
20 your question. I would state that there are competent
21 folks out there even in the academic realm that make
22 arguments that if in fact even slightly erroneous

1 information results in an individual improving behavior
2 and improving outlook on their health that that is of
3 intrinsic value. I think that is an interesting and
4 potentially perilous argument. I think the idea that you
5 need to come up with some metrics to measure this will
6 clean things out in the wash, if you will.

7 MS. AU: I think Marc is next.

8 DR. WILLIAMS: I'm a little bit concerned about
9 the other end of the spectrum, which was the idea that
10 the analytic validity is assured. This may represent
11 ignorance of the actual testing on my part, but the
12 information that was in our packet from PMC regarding the
13 accuracy of the tests was saying that they are delivering
14 the tests at a 99.9 percent accuracy. On the surface
15 that seems good, but if you are doing a one million SNP,
16 that is a thousand wrong calls.

17 Some of these relate to where you are
18 aggregating 50 or 100 SNPs, and you could argue that
19 maybe the incremental harm there is less, but some of the
20 things that are incorporated into these relate to
21 specific mutations in genes like BRCA and CF. If you
22 make a wrong call there, then I think there is a very

1 different impact. I'm a little bit concerned that we may
2 just say these things are valid and we don't need to
3 worry about them.

4 DR. FEERO: I think that the meeting attendees
5 would agree with you, but the focus of the meeting was
6 really on the clinical validity issue because it looms in
7 most folks' minds right at the moment, with these types
8 of multiple-gene scans, higher on the profile of
9 potential problems.

10 I don't know if there were other attendees at
11 the meeting who are on the Committee. Feel free to also
12 comment on that.

13 DR. FERREIRA-GONZALEZ: I understand what you
14 are trying to say with the major need to look at the
15 clinical validity of this, but we cannot forget the
16 analytical validity. We have here the potential to maybe
17 start developing the clinical validity, but we cannot
18 disregard the analytical validity.

19 DR. FEERO: Correct. The point, though, is
20 that let's say 99.9 percent of the time you are giving
21 the correct genotype but only 15 percent of the time is
22 that genotype actually reflective of actual risk. The

1 major problem doesn't lie in the analytic validity, it
2 lies in the clinical validity. That was the major focus
3 for the scientific discussion at this particular meeting.
4 It wasn't the nuts and bolts of the CHPs.

5 MS. AU: We will take Kevin and then we will
6 move on. There will be time for other questions after
7 everyone has spoken.

8 DR. FITZGERALD: I wanted to just get a better
9 sense of the personal utility. I understand, Greg, this
10 wasn't your idea or anything like that, but you were
11 there.

12 My concern is, as we look ahead and we are
13 trying to figure out exactly how to take this landscape
14 of personalized medicine and understand it in realistic
15 even economic ways, it may be true that with the
16 technologies and techniques we have now, there are
17 certain people that could make Jim look like this if he
18 so desires.

19 [Laughter.]

20 DR. FITZGERALD: I want to know, is that going
21 to be considered health? This is the issue. If we are
22 going to get personal utility merging with clinical

1 utility in any way, we are really going to be taking that
2 landscape and making it extremely amorphous.

3 DR. FEERO: Obviously, that is a boundary issue
4 that I think goes well beyond personal genome-wide scans.
5 That is across the playing field of preconception
6 counseling. Where are the boundaries.

7 MS. AU: I think Paul wants to speak.

8 DR. BILLINGS: While I may have a lot of ideas
9 about the issue of personal utility, I will point out to
10 this Committee that this is not an issue that is new to
11 genetics. For instance, there was a long argument in
12 genetics around the notion that any test that didn't have
13 a specific treatment was not worth providing because
14 there was no action to be taken upon it.

15 The determination of what that action was, was
16 generally made by the provider, while patients, for
17 instance, might have chosen to change their will as a
18 personal response to the information that might have been
19 contained in the genetic test.

20 DR. FEERO: I think that was articulated very
21 well at the meeting with the Reveal Study with
22 Alzheimer's.

1 DR. BILLINGS: Exactly. What I would just
2 point out is that personal utility is an evolving
3 concept. While I can understand some of our friends'
4 objections to some of it, I don't think it is to be
5 trashed altogether.

6 MS. AU: Thank you, Greg. Cathy reminded me
7 that at the end, after all the speakers finish speaking,
8 we will have them come back to the front and answer
9 questions.

10 Our next speaker is on the telephone, actually.
11 Christy White is the founder and principal of Cogent
12 Research. They have a longitudinal study of American
13 awareness, acceptance, and preferences for genomic-based
14 benefits, products, and solutions. She is going to be
15 presenting on some of their work today.

16 Your slides are up, Christy.

17 **Genomic Attitudes and Trends**

18 **Christy White**

19 [PowerPoint presentation.]

20 MS. WHITE: Thank you. I will just briefly
21 talk a little bit about the study.

22 As was mentioned, it is a longitudinal study.

1 In this report we will be reporting on three years' worth
2 of data. The goal of the study, on the Objectives slide,
3 slide no. 3, is really for us to have this comprehensive,
4 actionable assessment of where Americans' attitudes are
5 and to monitor those over time.

6 Our goals are to look at awareness, attitudes,
7 and preferences for using genetic information and to
8 really understand what their views are. Are they similar
9 or divergent. What are their views in general as it
10 relates to both nutrigenomics and pharmacogenomics, or
11 personalized medicine. We also look at that through a
12 variety of different types of consumer models.

13 The objectives that we cover are on slide no.
14 4. There is a lot of data in this study. I have about
15 10 minutes and I'm going to focus on some of the critical
16 issues specifically as they relate to DTC testing, but I
17 have a couple of overview slides as well. There is a lot
18 more in the research. If there are specific questions
19 that the Committee has or there are things they would
20 like to know, I would be more than happy to share
21 specific pieces of this data with you. This just helps
22 you understand more holistically what we cover.

1 The survey itself is about 120 questions. It
2 takes about 15 minutes for consumers to do. We cover a
3 lot of awareness, interest, and usage areas. Are they
4 aware of the role of genes, are they aware of genomics in
5 particular, are they interested in that. What specific
6 health benefits are they looking for. We do actually
7 delve into the whole issue that was being talked about
8 earlier in terms of are they only interested if there is
9 a specific benefit or treatment on the back end. Also,
10 what have they actually done surrounding genetic testing.

11 We also look at perceptions and barriers. What
12 do they think is good about genomics. What are they
13 concerned about. We have a lot of information on
14 discrimination. I know we have covered that in previous
15 meetings. That continues to be an issue for consumers.

16 One of the things I won't cover today but can
17 just tell you is there is very low awareness of GINA and
18 no change, really, in consumer confidence that their
19 information will not be used in a discriminatory fashion.

20 I have that data and can share it with the Committee
21 very easily if you are interested.

22 Then we get into more of the stuff we do on the

1 for-profit side around what do consumers want, who will
2 they share with the information with, how do you best
3 communicate with them. Then, as I mentioned, we do look
4 at some policy-related information.

5 The methodology of this study is on slide no.
6 5. This is a representative sampling of the U.S.
7 population. It is a Web-based survey and has been
8 throughout its history. We are very careful in setting
9 up quotas based upon U.S. census data to make sure that
10 we get the right representation of age, income,
11 ethnicity, region, and gender. We look at those numbers
12 very carefully on the back end as well and, if necessary,
13 do any weighting, which is usually minimal, to ensure
14 that we can project this to the U.S. population.

15 We talked to a total of a thousand consumers.
16 The sampling error for looking at this data is about plus
17 or minus three. As I mentioned, we will be comparing
18 this and looking at trending data to other years. We are
19 looking at a sampling error of plus or minus four.

20 Slide no. 7. One of the first things we do in
21 the survey is look at overall awareness. As you can see,
22 awareness has basically been hovering around 75 percent.

1 Although we did see a statistically significant lift, it
2 really isn't much in terms of total numbers. We started
3 out with about 75 percent of the U.S. population saying
4 they were aware of using genetic information to
5 understand and optimize health. We don't actually ask
6 them if they have heard of genomics, but we explain it to
7 them in basic terms. You can see that that number at
8 this point is at about 79 percent, which is a slight lift
9 over what we have seen in previous years.

10 So they have heard of this general idea. We
11 wanted to delve more deeply this year into direct-to-
12 consumer testing and the availability of Web-based tests.

13 In fact, we had talked with a couple of people at HHS.
14 Scott Boyle and Greg Downing had given me some feedback
15 on these questions when we were developing them.

16 They read a brief description of what we meant
17 by personalized genetic profiles, which I will read to
18 you.

19 Over the past year or so, a number of Web-based companies
20 have started to offer personalized genetic
21 profiles directly to individuals. These
22 profiles are based on a DNA sample collected

1 using an in-home kit and provide you with
2 information about your risk for approximately
3 30 diseases, such as arthritis, diabetes, and
4 various cancers. Have you seen or heard
5 anything about these personal genome services?

6 As you can see, about 12 percent of the
7 population we surveyed said that they had in fact heard
8 of some of these, which, frankly, was a bit higher than I
9 had expected but still is only about one in 10.

10 We followed that up with a question asking what
11 exactly do you think it means when these companies say
12 they provide information about your risk. This was
13 actually a multiple-response question because, as you
14 know, it is not always the same. Interestingly,
15 consumers chose pretty much only one response.

16 There is a lot of confusion. As you can see,
17 there is very little agreement on exactly what it is that
18 they would be getting for their money if they did choose
19 to have such a test. About a third said that it would
20 identify the chance of getting a specific disease, so
21 that it would in fact give them some kind of a figure,
22 like a 67 percent chance.

1 The next-greatest proportion said that it would
2 tell them if they were at greater risk but it really
3 wouldn't give any information about to what extent or
4 exactly what the level of risk was.

5 Around one in five thought that it would just
6 say that their genes look similar to those associated
7 with the disease but not whether they had any increased
8 risk level.

9 Only about 7 percent said it would determine
10 whether they definitely will or will not get a specific
11 disease. So only a few consumers are saying that it
12 really cannot tell with any definitive answer whether
13 they will get a disease or not.

14 Four percent said it would tell only if they
15 already had a specific disease. Interestingly, only 8
16 percent weren't willing to wage a guess here in terms of
17 what they thought it meant.

18 I think the key here is that consumers are
19 willing to make an assessment of what they think they are
20 getting, and what they think they are getting is really
21 very variable.

22 On slide no. 9 we look at how interested people

1 are. We know that about one in 10 are aware specifically
2 of DTC, but just in general we wanted to know how
3 interested they were. You can see, again, it hovers
4 around 50 percent. We haven't really seen much of a
5 change over the past few years. Just about one in two
6 consumers are saying that they are interested in using
7 their genetic information for the purpose of
8 understanding and optimizing their health.

9 We do see that there are specific subsets of
10 the population that are disproportionately interested,
11 and those are those with household incomes over \$100,000
12 and those whose health profile has them on three or more
13 prescriptions.

14 On slide no. 10 we look at what they actually
15 want from these tests. Are they looking to just test for
16 an individual condition or issue or do they want to know
17 everything, all issues at once. You can see that there
18 is a huge preference for that. Consumers are three times
19 more likely to say that they want to test once and they
20 want to get as much information as possible about what
21 their genetic profile says about their health status.

22 One of the other interesting pieces of

1 information on this slide is the fact that you really
2 only have about 20 percent of the population, and now 13
3 percent of the population, saying that they would never
4 have a genetic test, they are not open to having a
5 genetic test.

6 On slide no. 11, we actually asked consumers
7 about very specific diseases and said what diseases would
8 you be most interested in knowing about. I think one of
9 the interesting things here is that when you roll up all
10 the information and you look across all of the answers
11 that Americans provide, actually 91 percent of them would
12 want to test for at least one condition. So that 13
13 percent that said they would never have a test is
14 probably really more like 9 percent. That is not too far
15 off, but you do get a little bit more interest when
16 consumers start to think about the specific things that
17 they might be able to test for. So, large numbers of
18 Americans are very interested and can think of something
19 that they would want to test for.

20 You can see some of the things that they are
21 most interested in. Cancer definitely shows up in the
22 top 10 quite a bit. Also Alzheimer's, and of course

1 heart disease, not surprisingly, is right up there at the
2 top.

3 On slide no. 12, one of the things that we
4 noticed in this research this year is that consumers are
5 feeling empowered. Across a lot of the questions that we
6 asked we saw a lot more willingness to act on their own
7 and not necessarily share the information with their
8 doctor unless there was a problem, which we will talk
9 about in a minute.

10 We have a question where we ask people would
11 they actually involve their doctor in the decision of
12 whether to have a test or not. We have seen a drop in
13 that number. What we also see on this slide here is
14 there is an additional drop in the number that are saying
15 that they would share the information or they would want
16 the results of that information to be shared with their
17 doctor.

18 I think that obviously has a lot of
19 implications, if you think about the fact that consumers
20 are very interested in these tests. They can think of
21 areas they would like to have the test. They don't
22 necessarily what the information means when they get it,

1 and only one in two are saying that they would involve
2 their doctor in the discussion of that information. This
3 increased empowerment on the part of consumers is
4 something that I think is really important for the
5 Committee to keep in mind.

6 Slide no. 13. If they were to get the results
7 and it were to indicate that they were at risk of a
8 disease, now there is a slightly different story that
9 emerges. You do see that the majority of people are
10 saying yes, I would go and bring this information to my
11 doctor or I would talk to my doctor about it.

12 We also wanted to look at some other actions.
13 You can see about half are saying that they would want to
14 see their physician more often to have some type of
15 screening done. A little bit less than half are willing
16 to make some lifestyle changes, either diet or exercise.

17 I think that feeds into what we do know is an increasing
18 belief on the part of Americans that diet and exercise
19 are factors that can heavily influence their health
20 status.

21 Only a third said that they would tell their
22 family. We do know that consumers are very worried about

1 the emotional burden of having a test and they are not
2 willing, as you can see, to share that burden with their
3 families.

4 One in four are saying they would take
5 prescription medication on a preventive basis. Thirteen
6 percent are saying they would consider preventive
7 surgery. Only about 4 percent say that they would not do
8 anything as a result of that information.

9 Those are some of the highlights that I thought
10 would be of most interest to the Committee. As I
11 discussed, there is a lot of data and information in the
12 study. I would be happy to talk with any of you
13 individually or to provide information to the group as a
14 whole if there is any other additional information that
15 you think would be beneficial.

16 **Question-and-Answer Session**

17 MS. AU: Any comments or questions for Christy
18 right now? Dr. Dale.

19 DR. DALE: I have first a comment and then a
20 question. It looks to me like this panel you showed us
21 about the difference in sharing information between '06
22 and '08 shows a general trend downward. I don't share it

1 with anybody. I interpret that as distrust.

2 The other comment that I would like you to
3 respond to is, did you ask if people would want their
4 samples saved for future discoveries or in some way get
5 at the concept of a bank or storage?

6 MS. WHITE: We do actually cover that
7 information in the study. I would have to look it up to
8 be sure, and I know we are going to get back to questions
9 later on so I will make sure I have that data. It was my
10 understanding that that has also declined. Very few
11 people want the information to be saved, but I will get
12 those actual numbers for the later discussion.

13 DR. DALE: I'm thinking about saving the DNA.

14 MS. WHITE: Yes, absolutely. That question is
15 covered.

16 MS. AU: I think we will move on, in the
17 interest of time. Our next speaker is Larry Thompson.
18 He is going to be telling us about the NIH Website for
19 Consumer-Level Information about Direct-to-Consumer
20 Genomic Services. Larry comes to us from the National
21 Human Genome Research Institute, and he is the chief of
22 the Communications and Public Liaison Branch.

1 **NIH Website for Consumer-Level Information**

2 **About DTC Genomic Services**

3 **Larry Thompson**

4 MR. THOMPSON: Which may make you wonder, why
5 is a communications guy up here talking about this? That
6 is probably mostly because I have to do with websites.

7 Let me talk to you about three parts of this
8 and give you a little bit of history of why NIH is moving
9 towards trying to create a resource. We just did our own
10 consumer research study as preparation for this so we
11 wouldn't just completely make this up. Then let me tell
12 you a little bit about what it is that we are thinking.

13 Of course, you all know that these direct-to-
14 consumer tests started about two years ago. Out of that
15 came some concerns by NIH leadership because they are
16 outside of the medical model. These are complicated
17 tests. The answers are not always particularly clear as
18 to what they mean.

19 They were being marketed as entertainment or
20 the new pet rock or something. People were worried that
21 this would become viewed as genetic snake oil by the
22 public so that when this stuff really did work people

1 would be skeptical about it.

2 Plus, we were hearing things like from one
3 writer who has a book coming out. He was tested. One
4 company told him that his heart disease risk was low,
5 another said it was medium, another said it was high.
6 That gives you a sense of how reliable this is.

7 We also learned of a physician in Philadelphia
8 who was told his risk was really low, don't worry about a
9 thing, but he of course had already had a major heart
10 attack before the test was done. So the anecdotes were
11 not reassuring and raised a lot of serious questions.

12 Dr. Zerhouni, back when he was the director of
13 the institutes of NIH still, charged a bunch of IC
14 directors with coming up with some plan to communicate to
15 the public very authoritative stuff so that they would
16 have a place where they could go when they wanted to
17 understand that.

18 A trans-NIH committee was created. Dr.
19 Guttmacher, who was the deputy director at NHGRI at the
20 time and is now the acting director, and John Burklow,
21 who is the associate director for NIH, were the co-
22 chairs. Alan Stepped down when he took over as acting

1 director at Genome, and I replaced him.

2 We started moving very quickly to start making
3 a bunch of sites and do things. We also started looking
4 around in the world out there. It looked like we were
5 creating much of the same information that was already
6 out there, and so we began to wonder what we were doing.

7 We ran out of momentum and started to slow down.

8 Then our friends at the Cancer Institute
9 offered to actually do some market research for us. I'm
10 a former journalist. We just go out and tell stories and
11 make stuff up. Instead we thought we would actually do
12 something different and get some information first, and
13 so we decided to go ahead with this study, which was done
14 last fall.

15 The report was just presented to the trans-NIH
16 committee last week, so this is very good timing. I can
17 tell you a little bit about what we found. It sounds
18 very much consistent with what we just heard from Cogent,
19 which is always encouraging, because ours was done as
20 focus groups.

21 Let me tell you about the research and how that
22 is affecting us. We did 10 focus groups in Chicago, New

1 York, and Washington. Eighty-four consumers
2 participated. We also did in-depth interviews with nine
3 physicians who were in primary care practice.

4 On the consumer side, demographically we had 61
5 percent women, 39 percent men. Not surprising, since
6 women tend to focus on health more than guys. Seventy-
7 seven percent were white, 18 percent were African
8 American or black, 5 percent were other. Only 13 percent
9 were ethnically Hispanic. I think we have to keep this
10 in mind because of how this skews the population.

11 Also, this was a very educated group, which in
12 some ways also skews it. All of them had high school
13 diplomas. Many of them had been to college and a
14 substantial number had college degrees. Half had
15 children, so they were worried about inheritance if there
16 were diseases running in the family.

17 We tried to stratify the consumers into three
18 different groups: people who were not thinking about
19 genetic testing at all, people who were thinking about
20 doing it, and then people who did it. The last group we
21 called doers, the ones who had actually had a genetic
22 test.

1 We asked the recruiters to specifically go try
2 to find people who had had direct-to-consumer tests like
3 23andMe or Navigenics, and they couldn't find any. Now,
4 this is just a sample, and it is a very small sample, so
5 it is not too surprising that we couldn't get any in who
6 had done it. But they looked for them specifically, and
7 that really made us all wonder. I don't know what to
8 make of it. Again, it is a very small sample, but it was
9 very interesting.

10 Let me tell you about the results from the
11 consumers and then we will go to the doctors. Again,
12 these are not quantitative. These are focus groups. We
13 are trying to get impressions about what is going on.

14 Most consumers, at least in the focus groups,
15 were broadly aware of genetic testing. That is probably
16 why they agreed to be in them. They knew very little
17 about the details of them, and when they were pressed for
18 details they got stuff wrong all over the place. There
19 really is not very deep knowledge among the public.

20 Many did not want to know their risk of getting
21 certain diseases if there was no treatment or cure. If
22 they couldn't do anything about it medically, they didn't

1 really care. Some said they did want to know, especially
2 if they had a family history of a disease running in the
3 family. They wanted to know if they were at risk
4 themselves.

5 Most consumers were still very concerned about
6 privacy and confidentiality. I'm not surprised to hear
7 from Cogent that most people don't know about GINA.
8 There is certainly a lot of work to be done about that.
9 The consumers were particularly concerned about insurance
10 companies and employers.

11 Most thought that a trained health professional
12 should be involved in interpreting the test. They
13 recognized that their own ability was not so good to
14 really understand this stuff.

15 All the doers who had taken a genetic test had
16 done so specifically because of a family history. They
17 wanted to know what their risk was. Again, that is not
18 too surprising.

19 In general, the consumers wanted us, the
20 government, to provide lots of reliable, unbiased
21 information. That is actually good news for the effort
22 that we are looking at.

1 The results from the physician interviews were
2 pretty interesting, not particularly surprising. Just to
3 give you a little context on the practice setting for the
4 docs, six were in small private practice, two in large
5 private practice, one in a hospital practice, but they
6 skewed older. I was a little disappointed at that when I
7 saw their results. Two had practiced one to 10 years,
8 two had practiced 11 to 20 years, and five had practiced
9 21 or more years. Genetics has changed a whole lot in
10 that period of time and they didn't have a lot of that in
11 medical school.

12 It is consistent with NHGRI's fundamental
13 concern. When all this information starts pouring into
14 the medical system that physicians are going to be
15 deluged with it, we are worried about whether they will
16 know what to do with it, frankly.

17 Again, these were interviews. The findings
18 were that genetic testing really doesn't come up much in
19 their practice. It just doesn't come up.

20 Few have had patients ask for help interpreting
21 a genetic testing, including the DTCs. They are just not
22 seeing it in their practice. The doctors really felt

1 that patients don't understand probability and really had
2 no idea how to interpret the results of a genetic test.

3 The doctors also felt that patient information
4 about genetic testing that we might be providing needs to
5 be really practical and not technical at all. I guess
6 I'm going to have to drop that wonderful graphic I made
7 about how many angstroms there are in a single turn of
8 DNA. We'll just forget that.

9 [Laughter.]

10 MR. THOMPSON: Many of the doctors said that
11 they did not know enough about the kinds of genetic tests
12 that were out there. They didn't have classes in medical
13 school on it and, really, they wanted us, the government,
14 to provide a list of approved tests. Of course, NIH is
15 probably not likely to do that.

16 It certainly raises the question of vetting and
17 endorsement issues and many other complicated things.
18 They may be more appropriate roles for FDA or CMS or
19 somebody like that, but I don't see us particularly doing
20 that at this time.

21 The doctors were just generally skeptical about
22 the value of genetic testing. They did feel, mostly,

1 that NIH should play an important role in providing
2 information. There were some that thought we should just
3 stay the heck out of it, that this is really an issue
4 between the doctors and their patients and we should just
5 be quiet. We will see how that goes.

6 Here is how we are not going to be quiet. Here
7 is what we are thinking about doing. There were some
8 recommendations that came out of the study, and then here
9 are some ideas that we are developing right now to see
10 how this could actually go.

11 The recommendations from the NCI study were
12 that the information clearly had to be basic and
13 practical, it had to be all about genetic testing, and it
14 had to be very straightforward. We needed to develop it
15 for different audiences. Certainly the public, but we
16 really needed to be generating information for our
17 professional audiences because they need a place that
18 they can go for good stuff, too.

19 We needed to explain direct-to-consumer testing
20 clearly. We should probably include genetic testing on
21 the website, and we need to do basic, good standards for
22 utility testing and stuff like that.

1 The assumption that we are going in with, or
2 maybe I should say the assumption I'm going in with,
3 since I'm charged with basically building this thing, is
4 that consumers don't care. They are really disinterested
5 in this subject, until they are interested. For the most
6 part, we Americans are bombarded with messages, thousands
7 of messages a day, and we filter them all out and ignore
8 them until we get converted into information-seeking
9 behavior. There are lots of studies about that around
10 health information.

11 I think what we need to be doing is creating an
12 authoritative, reliable, unbiased resource that people
13 can go to when they get converted into that information-
14 seeking mode. What we probably need to do is market the
15 availability of that information when they want it.

16 If something comes up, like my kid gets sick or
17 my parent is sick, or my sister, I want to know whether
18 this is going to run in the family. I remember, "Oh
19 yeah, those government guys, they have something out
20 there that I can go find this."

21 The good thing about the way search engines are
22 working these days is that government sites are

1 preferentially listed above commercial sites. We will
2 bubble up to the top pretty quick, and people shouldn't
3 have too much difficulty finding information that we put
4 on the Web.

5 We are focusing on the Web because the people
6 who are using this and seeking this information are very
7 Web-savvy. Things are being marketed on the Web. This
8 tends to be a more affluent group. We are not worried at
9 this time, although we may get to that, about reaching
10 further out into the world where people aren't using the
11 Web and trying to reach those audiences as well.

12 The other thing that we are thinking about
13 doing in this Web 2.0 world, which is overused and much
14 hyped, is the social marketing of it all. We think this
15 site needs to be engaging. The government, from my point
16 of view, does lots of Web blogs. We create all kinds of
17 content and put it on the Web. That is what a Web
18 blogger does. They write something and put it on the
19 Web.

20 What the government really doesn't do well is
21 listen. We don't listen to the users and we don't want
22 to take the time to try to sort it out and have a

1 conversation with our audience. We want to try to do
2 that with this site. That is what we are thinking of
3 doing.

4 We might want to take that even a step further.
5 What I'm going to try to push, besides blogging this
6 whole subsite, is to do a video blog on it. A video blog
7 is basically just, instead of writing something, we bring
8 somebody into a room, sit them down, do an interview with
9 them, put a webcast up on the site, and the information
10 becomes quickly available.

11 It is easy for us to do those. We can do that
12 fairly quickly. My institute right now is trying to
13 create a small interview studio so that we can test this
14 idea and push this along.

15 It is easier in some ways for the audience to
16 take this information in because all they have to do is
17 sit there and watch TV, basically, on the Web. I have
18 worked in broadcasting as a journalist. Television is
19 automatically less dense. You just can't get as much
20 information in television as you can in print. We will
21 have to supplement with some text, but generally, it is a
22 stream of consciousness way of getting information

1 across. It will be done in a Q&A kind of format.

2 There are challenges. We have to be 508-
3 compliant. Closed captioning costs money. It has to be
4 done quickly. We will definitely be working to put those
5 resources in place. The other challenge, of course, will
6 be finding experts across NIH, and wherever else we draw
7 them in from, who can speak in a way that my mother can
8 understand. She yells at me for not being
9 understandable, but we will have to try to get there so
10 that the information is accessible.

11 There are some other challenges. There is no
12 budget for this. Like so many trans-NIH efforts, we are
13 dependent on the kindness of colleagues. Right now
14 people have been volunteering like crazy and it has been
15 really great.

16 There is no dedicated staff for this. All the
17 people that are working on this, including myself, are
18 volunteers for it, and we are all hyper-busy, but there
19 is a strong sense that this is important and it should be
20 done.

21 This is a rapidly changing field, so we are
22 going to need a group that monitors and keeps up as this

1 goes along. I am almost certain that I'm going to make
2 mistakes as we are doing this, but I think that it will
3 be an interesting exploratory process. If there is a
4 conversation with our audience about it, I'm not as
5 worried about making mistakes because we will talk about
6 it. We will sort it out with that community of people
7 who are interested in all of this. Overall, I'm
8 optimistic that this will actually be helpful.

9 I will tell you one more thing in closing. An
10 interesting note is, we were using a shorthand to refer
11 to this and we were calling it Gene Scan. We were
12 thinking about calling the site GeneScan.NIH.gov. We
13 tested that when we had the consumers in the group, and
14 they said, don't do that. They said it sounds like
15 "scam." That was a New Yorker, so that is not too
16 surprising.

17 [Laughter.]

18 MR. THOMPSON: The general sense was that this
19 was something that was going to be cursory. It was not
20 going to be in-depth and we would just gloss over it.

21 So we are still working on a name. If you have
22 any good ideas, I'm all ears. I would be happy to take

1 questions.

2 MS. AU: I think Marc has a question or
3 comment. Maybe Lyla can start moving up to the podium.

4 **Question-and-Answer Session**

5 DR. WILLIAMS: I like the idea of the videos.
6 One thing that you might consider, given all the
7 constraints that you previously mentioned, is that
8 Dartmouth has published on shared medical decision-making
9 using videos where you basically have patients relating
10 stories to patients about a choice. I think the one that
11 they studied most extensively was on benign prostate
12 hypertrophy and the different interventions.

13 I think that this would be a great opportunity
14 to have people tell stories about why they chose to be
15 tested, why they chose not to be tested, why they chose
16 to tell or not to tell their doctor.

17 I think, as you well know, being a journalist,
18 we relate to stories much better than we relate to
19 anything else. This might be a really cool opportunity
20 to test how that would work in this setting.

21 MR. THOMPSON: We have been thinking about how
22 do you have the dialogue on a government site and who do

1 you let in. You can't just let people post whatever they
2 want to. It has to be vetted. There are some HHS
3 policies already about that.

4 I do like the idea. I'm a little bit of a geek
5 and I go on websites where there are technical
6 discussions all the time, and people tell each other
7 stuff all the time. I want to figure out how to enable
8 that in this site as well. I think that is really
9 important. Thank you.

10 MS. AU: We will have more time to ask Larry
11 questions at the end. Our next speaker comes to us from
12 the Institute of Medicine, where she is a senior program
13 officer. She is going to be telling us about the plans
14 for the National Academies Direct-To-Consumer Genetic
15 Testing Workshop.

16 **Plans for the National Academies DTC Workshop**

17 **Lyla Hernandez, M.P.H.**

18 [PowerPoint presentation.]

19 DR. HERNANDEZ: You all know how important
20 direct-to-consumer genetic testing is an issue. It is
21 consuming a lot of our time and effort these days.
22 Several different segments of the National Academies felt

1 it was important enough that, unlike when we are all
2 trying to get our own projects going in our little areas,
3 we thought it was very important to take an Academy-wide
4 look at direct-to-consumer genetic testing.

5 Several of us got together, including the NAS
6 Committee on Science, Technology, and Law, the National
7 Academy of Science Board on Life Sciences, the Institute
8 of Medicine Roundtable on Translating Genomics, the Drug
9 Forum, the National Cancer Policy Forum, and we went to
10 the presidents of the Academies and the Institute of
11 Medicine and asked them for money to put together an
12 Academy-wide workshop that would look at the kinds of
13 issues that are of concern to various segments of the
14 Academies in this whole area.

15 We have a Workshop Planning Committee that is
16 composed of representatives that come from each of the
17 segments of the Academies that is participating with the
18 Genomics Roundtable, which is what I direct. We have
19 Kathy Hudson and Muin Khoury, and I know you all know
20 them. These are the rest of our members.

21 The goal of the project is actually to bring
22 together numerous stakeholders -- something we all try to

1 do these days -- including scientific, medical, legal,
2 and policy communities, and the public, to look at
3 issues, opportunities, and challenges in this whole area.

4 We have four areas of emphasis. We are going
5 to briefly try to get a handle on the current state of
6 the knowledge and a future research trajectory in this
7 area; shared genes and emerging issues in privacy, which
8 you talked about this morning; the regulatory framework
9 in DTC genetic testing; and then education, or
10 communication and understanding I guess one would say, of
11 the public and the medical community.

12 We were asking certain questions in the
13 knowledge and research trajectory area, including the
14 current status, of course. What do we know about the
15 analytical validity and the clinical utility of these
16 tests. Can we learn anything from these tests; if so,
17 what. What will not be learned from these kinds of
18 tests. What can we anticipate the future is going to
19 look like in terms of the genetic tests that come online
20 that will be available in the next five to 10 years.
21 What is the market going to look like. Those are the
22 kinds of questions we are exploring in the first session.

1 Our second session will look at shared genes
2 and the emerging issues in privacy. One of the things
3 that the planning group was particularly interested in is
4 can we balance this consumer -- and now we know it is a
5 small percentage of consumers -- desire to know with the
6 need to protect and the need to guide. What are the
7 risks and benefits for family members who use these
8 tests; for public figures, if they choose to use them;
9 for the legal system.

10 A big question is, who owns the individual's
11 genomic data. There is the issue of discrimination and
12 effectiveness of GINA. There is an emerging online
13 social networking system that is based on these direct-
14 to-consumer genetic testing results, and we want to
15 explore that a bit.

16 There are many regulatory framework issues.
17 I'm going to let you read the slide rather than reading
18 it to you. Perhaps that will help speed us along so we
19 aren't as far behind. I'm sure you have a copy.

20 A big area is what do we know about what the
21 public knows and what the provider community knows, and
22 what kind of providers are we talking about. Primary

1 care is very different than pediatrics, which is very
2 different than obstetrics and gynecology in terms of the
3 level of knowledge about certain kinds of genetic tests.

4 How do we ensure that those who take these DTC
5 tests get proper interpretation. Are there mechanisms or
6 innovative models that could be used to help that. What
7 is the minimum knowledge required. What kind of lessons
8 have we learned from other diagnostic tests and
9 procedures.

10 We have not scheduled a date. We have had two
11 planning committee conference calls. We hope to have
12 another one in the near future and finalize the agenda,
13 but we hope to hold the workshop in the late summer or
14 early fall. You can contact either Anne-Marie Mazza or
15 myself for more information. Thank you.

16 MS. AU: Do we have any questions or comments
17 for Lyla?

18 [No response.]

19 MS. AU: Thank you, Lyla. Our next speaker is
20 Amy Miller. She is the public policy director for the
21 Personalized Medicine Coalition. She will be talking to
22 us about Standards for Analytical Validity and Clinical

1 Validity of Genomic Scans.

2 **Standards for Analytical Validity and Clinical Validity**
3 **of Genomic Scans**

4 **Amy Miller, Ph.D.**

5 [PowerPoint presentation.]

6 DR. MILLER: Thank you for inviting me to speak
7 today. I would like to run through some Personalized
8 Medicine Coalition efforts in this space.

9 First of all, who are we. We are interested in
10 personalized medicine as a large concept in the future of
11 health care. We represent all the different stakeholder
12 groups in personalized medicine. That includes
13 pharmaceutical companies, diagnostic companies, lab
14 service companies, the academics who do the initial
15 research, and the medical centers who put it into
16 practice.

17 Here is a handy little diagram about we see
18 ourselves. As you can see here, healthcare providers and
19 patient groups are members of our organization.

20 You heard a little bit about the HHS, NIH, and
21 CDC efforts in consumer genomics, and through those
22 conversations there were some concerns that maybe the

1 results weren't similar when people got the three
2 different scans. The companies, before this became a
3 very public concern, hadn't really talked with each
4 other.

5 During the HHS and SACGHS efforts over the
6 summer of 2008, three gene scan companies: 23andMe;
7 deCODE; and Navigenics; along with DNA Direct, came
8 together and said it would be a good idea if we got
9 together, talked about our products, and talked about how
10 to get them a little more aligned.

11 DNA Direct, for those of you who don't know, is
12 a longstanding direct-to-consumer genetic testing
13 organization that does tests that usually you get through
14 your physician. There is a physician who orders the
15 tests, and the results are transmitted through a genetic
16 counselor. DNA Direct has long been a member of the PMC
17 and a leader in this field, and that is why Ryan Phelan
18 in particular was involved in this conversation, but they
19 don't do gene scans.

20 These three companies that do gene scans came
21 together and said let's try to get our tests aligned so
22 that when a journalist gets them all done they do get the

1 same results. Through that effort they came to adjust
2 their algorithms in some ways so that the results are
3 more similar. They also recognized that transparency
4 would be very helpful to the community.

5 This is actually a link to the CDC's website,
6 but it is also on the PMC webpage. This link, and what
7 is in your book, is a four-page overview of the
8 workgroup's efforts. The companies have recognized how
9 important transparency is, and in the fourth page you
10 will see links to the transparency pages of the three
11 companies, where they go through how they calculate risk.

12 They have also pointed out some areas where it
13 would be helpful to have the government say what would be
14 useful. So, where is the consensus on how to calculate
15 risk, or where is the consensus on when to include a SNP
16 in results communication. These are some open questions
17 that the companies themselves recognize.

18 Now, PMC is partly an educational organization,
19 educating whomever about personalized medicine. Since
20 these organizations have gotten so much attention
21 publicly in the media, we thought it would be very useful
22 if some organization came up with some educational

1 materials. To do that, we hired, frankly, Scott Boyle,
2 who used to work at HHS and has since returned to
3 academe, to help us write a consumer guide.

4 We also wanted patients and providers to have
5 some input into this consumer guide, so we drafted a
6 document and sent it to our Public Policy Committee at
7 PMC. Some of you in this room actually took part in
8 editing the guide there. We also sent it through our
9 Science Committee. Some of you are also there. We
10 shipped it around to some federal friends and received
11 feedback there.

12 Then we sent it to the community and asked for
13 feedback, and hosted a roundtable, where we asked
14 patients and providers to read the document, to listen to
15 companies present their products, and to give open and
16 honest feedback about what kinds of information they
17 want, how they would like it to be presented, what are
18 some cautions they see in the products, and what are some
19 benefits they see in the products.

20 PMC went into this event blindfolded. We
21 didn't really have any expectations for outcomes. What
22 was most surprising to me is that when we presented the

1 guide -- which is in your books, and for the rest of you
2 is available in its entirety on this website -- the
3 consumer groups represented in the room said we would
4 like this guide to be redone for our needs. So I said,
5 take it. If you want to take the content in this and
6 expand on certain aspects and contract certain other
7 aspects and remodel it for your own use, please do.

8 I was listening with rapt attention to the NIH
9 gentleman who before me. There is a need for that.
10 There is a need for an educational, government-wide
11 effort. It should be focused on different kinds of
12 groups as well. We heard it loud and clear from our
13 consumer effort.

14 Now, in terms of going forward, as PMC received
15 feedback on that very large guide we incorporated that
16 feedback. The guide just grew and grew. We do hope to
17 do a small educational brochure. We have some history of
18 doing that before, and we hope to get one out soon.
19 There is still, I think, a thirst for knowledge in this
20 space.

21 MS. AU: Do we have any questions or comments
22 for Amy?

1 [No response.]

2 MS. AU: Thank you, Amy.

3 DR. MILLER: Thank you.

4 MS. AU: Our next speaker is well known to the
5 Committee because we keep inviting her back over and over
6 again to give us great feedback. Anne Willey comes to us
7 from the New York State Department of Health, where she
8 is the director of the Office of Laboratory Policy and
9 Planning. She is going to be telling us what is going on
10 in that great State of New York.

11 **New York State Laboratory Requirements Relevant to**

12 **Genomic Services**

13 **Anne Willey, Ph.D., J.D.**

14 [PowerPoint presentation.]

15 DR. WILLEY: Thanks for having me back again.

16 I understand there are some new members of the Committee,
17 and so very briefly I am going to just review the New
18 York State oversight of clinical laboratories. I will
19 emphasize again, as I have repeatedly before, this system
20 operates for all laboratory testing in New York. It is
21 not unique to genetics, but all genetic testing is
22 subject to this system.

1 The statute in New York State preexists all
2 federal statutes regarding oversight of clinical labs,
3 having been passed in 1964. It requires all laboratories
4 testing any specimen derived from the human body
5 collected within the geographic jurisdiction of New York
6 to have a permit from the New York State Department of
7 Health, regardless of any other permit, regardless of any
8 other accreditation.

9 The criteria for issuance of a permit requires
10 that the lab director be qualified, that they submit an
11 application and they pay us money, that the facility be
12 inspected, that every assay they offer is either
13 generally accepted -- that generally means FDA-cleared --
14 and approved by the New York State Department of Health,
15 which means we have a rigorous review with assay
16 validation, and they have to comply with any other state
17 statutes.

18 Directors have to have a doctoral degree and
19 four years post-doctoral experience. Two of those four
20 years must be in the specialty, in this case genetics,
21 and that experience must be within the last six years.

22 The lab submits an application in which we

1 review their ownership and financial interests, the
2 physical facility layout and equipment, who is working in
3 the lab, and what tests they intend to offer. Their
4 initial fee is \$1,100. It is then a percentage of their
5 revenue. For some large major labs, this means they pay
6 us over \$1 million a year.

7 There is an on-site physical inspection of
8 every facility. We go internationally to Hong Kong, the
9 United Kingdom, and Iceland.

10 Every assay that they offer must be reviewed
11 for its validity. That includes a specific assay
12 description, a suitable guide that will be used by the
13 person ordering the test, and an explanation of their
14 consent process. New York State is a state that believes
15 in genetic exceptionalism and has a specific statute in
16 the civil rights law that explicitly requires written
17 informed consent for all genetic tests. That is DNA,
18 RNA, chromosomes, gene product, and/or product of gene
19 product, for inherited traits. We are looking at germ-
20 line mutation defined as genetic. It includes
21 specifically DNA profiling.

22 We review analytical validity, and I will

1 generally agree with some comments made earlier that this
2 is probably the easiest element for the laboratories to
3 document. That doesn't mean we don't review it. We look
4 at their actual data and their claims, their cutoff
5 values and their error rates, and their precision,
6 accuracy, and reproducibility, but it is their ability to
7 detect and/or measure whatever that target is, be it the
8 DNA sequence, the enzyme activity, whatever it is they
9 are claiming.

10 We also review clinical validity, but this is
11 generally documented by literature references. It is the
12 documented association of the analytical target with some
13 clinical condition or outcome or component of the
14 biological specimen. New York State includes under its
15 laboratory licensure program things beyond the CLIA
16 definition of a clinical lab so that genetic profiling,
17 paternity, forensics identity, and hobby genetics, if you
18 will, are subject to oversight because it is a specimen
19 and it is the measure of a component in that specimen.

20 We also review their reporting format. In
21 genetics we require that that be in a format suitable for
22 a non-geneticist.

1 Some of the other statutes become of issue,
2 particularly when we are talking about the kind of
3 direct-to-consumer marketing of genomic profiles. New
4 York State is not a direct-access state. Individuals
5 cannot order their own lab tests, with some very, very
6 specific exceptions.

7 Therefore, every test, if it is performed by a
8 permitted lab, is only performed at the request of a
9 person authorized by law to make use of those test
10 results. In the case of most genetic tests, that would
11 be the clinician, generally a physician. Genetic
12 counselors are not licensed healthcare practitioners and
13 cannot order lab tests in New York State. It may be a
14 lawyer in certain legal circumstances, such as paternity,
15 identity, forensics.

16 Laboratories must report the results only to
17 the person who orders the test, and they may communicate
18 those results, which must be an exact copy of what was
19 reported to the authorized person, to the patient or
20 person tested only with written authorization of the
21 ordering person.

22 We also have lots of business practice rules

1 for laboratories, including direct billing laws.
2 Laboratories must bill the person tested or their
3 insurance, with authorization. This to avoid middle men
4 who mark up charges or add on services that may or may
5 not be appropriately attached to the lab test.

6 There is a provider-to-provider exception
7 between permitted labs. When a specimen goes off to one
8 lab, that lab doesn't do the test, they refer it to
9 another lab. The first lab can bill for it and pay the
10 second lab.

11 Facilitators, intermediate marketers, and
12 Internet facilitators cannot receive funds on behalf of a
13 person tested to pay for the lab test. If they are
14 arranging tests, which we have mentioned DNA Direct does,
15 then the lab that does the test has to bill the person
16 who is tested. DNA Direct can bill the person for the
17 medical services they provide but they cannot be the
18 pass-through for the money.

19 There are some very rigid anti-kickback
20 statutes in New York State. There may be no fiscal or
21 other incentives provided by a licensed laboratory or
22 other entity to the ordering practitioner. You can't pay

1 them a fee, you can't employ them, you can't put them
2 under contract, and perhaps more specifically, the
3 laboratory cannot provide services to the person tested
4 that would otherwise be provided by the practitioner.

5 Laboratories cannot provide genetic counseling
6 for the persons they test. They can provide genetic
7 counseling education to the physician who orders the
8 test, and they can provide a copy of the test result if
9 the physician authorizes them to do so, but the
10 laboratory cannot practice medicine. Genetic counseling
11 is considered the practice of medicine.

12 Under state education law, the license of a
13 physician prohibits that physician from being an employee
14 of a corporation. Corporations cannot practice medicine.

15 Laboratories can't practice medicine, laboratories can't
16 employ physicians who practice medicine, and physician
17 groups have to be careful as to how they incorporate
18 under New York State law.

19 Now, I'm asked how this works for the entities
20 that are offering direct-to-consumer testing. I tried to
21 be creative. I have learned a great deal. I can now
22 draw arrows in PowerPoint.

1 [Laughter.]

2 DR. WILLEY: Education and information flows
3 relatively freely. The one place we need to be careful
4 is between the laboratory and the tested person. The
5 tested person can provide information to the laboratory,
6 but the laboratory can only communicate with the tested
7 person in anything other than generic webpages or
8 information or educational materials at the authorization
9 of a physician.

10 There is an arrow missing on the slide between
11 the laboratory and the authorized person or the
12 physician. We want the labs to educate the practitioners
13 about the tests that are available.

14 Within the different components of a
15 laboratory, those who collect the specimen, those who
16 perform the analysis, those who interpret the data, we
17 expect appropriate exchanges of information.

18 There are these facilitators or marketing firms
19 out there who can share information with physicians,
20 share information with patients, and get information from
21 the laboratory. That is another arrow missing from the
22 slide. You will see it gets complicated enough.

1 We want a free education. We want free
2 information, with one caution, that being between the lab
3 and the person.

4 You will also note down here under the
5 laboratory I have indicated three different components.
6 We believe that it is consistent to say that these
7 entities that will obtain raw data from the analytical
8 testing facility and generate a report that would go to
9 the ordering practitioner are laboratories. Making them
10 laboratories creates the provider-to-provider exception
11 regarding financial arrangements. It creates an
12 appropriate provider-to-provider exception for exchange
13 of patient information. It facilitates the kinds of
14 activities that corporations like, if we will, the big
15 four wish to engage in.

16 Making them laboratories does subject them to
17 an inspection, the naming of a director, paying of a fee,
18 and participating in whatever oversight and submission of
19 data we require, but we believe it is also consistent
20 with the CLIA requirement that says that the pathologist
21 who receives the slides or the images from the analytical
22 facility and issues an interpretive diagnosis on a Pap

1 smear must be licensed as a lab. We consider these data
2 management facilities no different than that entity in
3 pathology. So we are making these data management
4 companies laboratories.

5 Information flows freely. There must be a
6 written informed consent, and the statute specifies eight
7 elements. Four of those elements can only be described
8 by the lab: what test are you going to do, what is the
9 predictive value of the test, what are you going to do
10 with the specimen, and those kinds of things. The lab
11 has to provide to the physician half of the information
12 for the consent.

13 The physician is the only one who knows why
14 they are doing the test, what it is going to mean for the
15 patient, and they are the ones who have access to the
16 signature of the patient. The actual execution of the
17 consent, the turquoise line on the slide, occurs between
18 the ordering physician and the patient.

19 The laboratory can get a copy of that consent.
20 They are not required to have a copy. The physician who
21 orders the test must retain the written informed consent.

22 Money. The tested person must pay the lab.

1 The tested person presumably pays the authorizing
2 physician for their medical consult. The authorizing
3 physician could pay a facilitator in exchange for
4 information. That is that educational piece, that CME
5 piece.

6 The laboratory could contract with that
7 marketing entity for the distribution of educational
8 materials. As between the components of the lab, they
9 can exchange money. One entity gets all the money, they
10 pay all the parts. The laboratory can give no money and
11 no incentive to the authorizing physician.

12 The report is the white lines on the slide.
13 The laboratory reports to the ordering physician. The
14 ordering physician interprets and provides some results
15 to the tested person. If the physician authorizes the
16 laboratory to give a copy of that report to the patient,
17 that can happen.

18 Adding in the two arrows I left out, when we
19 try to explain the business practice criteria that we use
20 to review these, we are looking at all of those various
21 components in agreeing to approve one of these entities.

22 We monitor the Internet for marketers of lab

1 tests. Genetic tests are just one of the types of tests
2 we monitor. We have sent to approximately 40 entities,
3 since 2004, letters that say not in New York unless you
4 have a permit.

5 I was asked to report on what the responses to
6 those letters have been. I have copies of all the
7 letters that went and copies of all the responses that
8 came back. There are approximately 40 because the
9 companies morph. They change from one into three and
10 then they combine.

11 Anyway, we have had no response from eight.
12 They tend to be small entities. They come and go on the
13 Internet. There were eight that did not respond.

14 There were 12 that responded, we understand, we
15 know you have rules, we won't do it in New York, and they
16 put disclaimers on their websites that say not in New
17 York.

18 We have five that said, we know you have rules,
19 we think we are going to apply for a permit, but we won't
20 take specimens from New York until we get our permit.

21 We have five that we still need to follow up.
22 They are in that category. They do need a permit and we

1 need to get them into the system.

2 We have three that we have determined do not
3 fall under our jurisdiction because you have to travel to
4 that facility in order to have the specimen collected and
5 that facility is not in New York. Therefore they are not
6 in our jurisdiction, or they are not a laboratory. They
7 are the practice of medicine, they are not performing any
8 tests. That is three of them.

9 We have the biggies. Three have applied. One
10 we have determined is not a lab. The remaining one is
11 still in negotiations regarding the requirement for a
12 physician's order and whether there are any options under
13 the New York State statute.

14 I would be happy to take questions.

15 MS. AU: While we are asking Anne questions, if
16 I can have the other speakers start moving to the front
17 so we can do the panel. Yes, Jim.

18 **Question-and-Answer Session**

19 DR. EVANS: I will ask the obvious question,
20 Anne. You left us with the three biggies and you had
21 determined that one was not a lab.

22 DR. WILLEY: DNA Direct is the practice of

1 medical genetics. They facilitate the testing, but they
2 do not do any testing. They have accommodated the New
3 York State direct billing law. The Department of
4 Education has cautioned them regarding the corporate
5 structure under which the New York-licensed physicians
6 provide the medical services, but that is not a
7 laboratory issue.

8 DR. EVANS: Where do things stand with the
9 large labs like 23andMe in getting this? At least one of
10 them says, we have a physician that orders the tests, but
11 that would seem to be in conflict with your rules.

12 DR. WILLEY: It is.

13 DR. EVANS: So they would not be eligible to do
14 this on specimens collected in New York.

15 DR. WILLEY: Not if there is any financial
16 arrangement with that physician.

17 DR. WILLEY: Julio.

18 DR. LICINIO: My question was, I have been
19 reading about how people have these DNA parties where
20 everybody goes and collects samples.

21 DR. WILLEY: Those specimens were destroyed.

22 DR. LICINIO: Yes, but let's say I am not a

1 resident of New York and I go to such a party, and the
2 test is sent outside of New York. So I don't reside in
3 New York, the test does not happen in New York, but I
4 happen to be in New York for the collection, is that
5 legal or illegal to you?

6 DR. WILLEY: If the specimen is collected in
7 the geographic boundaries of New York State, then the
8 laboratory that performs the test is subject to the
9 jurisdiction of the State of New York. It is not that
10 far to Connecticut.

11 MS. AU: We won't tell the governor, Anne. Any
12 other questions for Anne right now?

13 DR. WILLEY: The answer to your question is no,
14 no labs are approved in New York State to offer whole
15 genome scans. Some of you may know that in the last two
16 weeks we have approved three laboratories to do array-
17 based genome scans, but those are for specific genetic
18 conditions which are confirmed by cytogenetic fish.

19 MS. AU: Why don't we have all the speakers
20 come up to the front. Do any of the Committee have
21 questions or comments for any of the speakers today?
22 Jim, do you have a question?

1 DR. EVANS: This would really be for all of
2 you. As I was listening, one thing that I was struck by
3 was a fair amount of discussion about analytical validity
4 and a fair amount of discussion about clinical validity.
5 I think, as a practitioner and as a patient, is that
6 what is most important is what those two concepts are
7 subservient to ultimately, which is clinical utility.
8 I'm just wondering what your thoughts are about clinical
9 utility because I didn't hear much about that.

10 Anne, you are the only one who I think was
11 clear on that. It doesn't fall under your jurisdiction,
12 really.

13 DR. WILLEY: To make it clear, if a laboratory
14 includes in their report something which verges on claims
15 or patient-specific recommendations. It's one thing to
16 have educational material on the website that says if you
17 have this test and we find these markers, people with
18 those markers may have these increased risks. That is
19 educational material.

20 After the test has been done and you are saying
21 to the patient, "You have these markers. These markers
22 are found in individuals at increased risk of," the

1 laboratory cannot then say, "Therefore you should take
2 this drug or have this test." Laboratories can't do
3 that. The utility, what you do with this information, is
4 left to the practitioner who ordered the test.

5 **Committee Discussion of Issues and Next Steps**

6 DR. EVANS: I'm interested in where that
7 concept falls for the rest of you.

8 DR. FEERO: I will first comment from the
9 standpoint of the meeting that I talked about. I think
10 that utility was definitely part of the discussion at the
11 meeting. It is obviously a very difficult thing to
12 define. It is very, very hard to define. It is quite
13 hard to measure. It takes a lot of time and effort.

14 I think a lot of the meeting actually focused
15 on the need for adequate clinical validity before you can
16 get to really addressing in big studies the clinical
17 utility issue. If the SNPs aren't predictive of risk in
18 all the populations you want to include in a large
19 utility study, you can't do the study.

20 As anybody knows who has heard me speak before,
21 utility is near and dear to my heart as an issue. I
22 think you cannot neglect that lens for these

1 applications.

2 DR. MILLER: I was about to answer very
3 similarly. Just to add on to that, because clinical
4 utility is so hard to define one unintended consequence
5 of these companies coming forward is that consumers know
6 a whole lot more now about what genes mean to their
7 health. I think they are also starting to learn a bit
8 more about probability. That is an unintended but
9 perhaps positive consequence. It is adding to what
10 consumers understand.

11 DR. EVANS: I'm actually skeptical that there
12 is an increased understanding of any real appreciation
13 for probability and utility.

14 DR. MILLER: I don't have any data to back up
15 what I said.

16 DR. EVANS: Right. That is my next question.
17 I don't think there are data to suggest that.

18 DR. FEERO: I would say that a definite benefit
19 has been an increase in the dialogue and also the sense
20 of urgency to address the issue. These companies I think
21 have done a service in that respect to propel the
22 discussions that need to happen as these technologies are

1 becoming more and more viable for healthcare
2 applications.

3 MS. AU: Christy, are you still on the phone?

4 MS. WHITE: I'm here.

5 MS. AU: Do you have that information for Dr.
6 Dale?

7 MS. WHITE: I do. I know there was some
8 discussion with the last speaker about that in terms of
9 the ability for people to retain information.

10 The way it was worded actually is, "What should
11 happen to your DNA sample after the test is complete?"
12 and 46 percent said, "Retain the DNA sample for future
13 tests of my choosing." When we asked them who they would
14 want to keep the DNA, the vast majority of them, two-
15 thirds, said that they would want it to be kept by the
16 company that conducted the test. Very few said a private
17 medical storage company. Less than one in 10 said that a
18 government agency should have that information. No
19 offense to anyone in the room.

20 MS. AU: Andrea.

21 DR. FERREIRA-GONZALEZ: Did you also ask them
22 about not only retaining the specimen but if we can use

1 it for further testing or for other purposes?

2 MS. WHITE: We did have another attitudinal
3 question at some point that didn't ask them if they would
4 want it but were they concerned that that would happen.
5 I think something like two-thirds of people said they
6 were very concerned that their test may be used without
7 their permission. While we didn't ask that exact
8 question, from a lot of the qualitative research we have
9 done I would say absolutely they do not want that
10 information to be used except by their own choosing and
11 for a specific test that they would indicate.

12 MS. AU: Gwen.

13 MS. DARIEN: Hi, Christy. It is Gwen Darien.
14 I have a question. You asked it one way, but one of my
15 colleagues, who is an OB/GYN and bioethicist, did a
16 survey and asked the question in a different way. The
17 question was how people would feel about having their
18 embryos used for research if it would help forward
19 medicine.

20 Overwhelmingly, the families that were asked
21 said that they would be happy to have their embryos used
22 for research and that they weren't using their discarded

1 embryos.

2 It seems to me, that the way the question was
3 posed would lead people to answer the way that you
4 answered it. In my mind, there would be some suspicion
5 in the way the question was posed.

6 MS. WHITE: Right. Obviously, if you are
7 giving people an altruistic reason to use the DNA you
8 might see a different response. In this case it was
9 really more the likely scenario, which is I have had a
10 genetic test for my own purposes, I have had my DNA taken
11 to tell me about a specific test I want, and I'm housing
12 my DNA there for my own purposes in the future.

13 Certainly, if it is more mom and apple pie and
14 it is served up in an altruistic manner, particularly
15 among women as it relates to children or disease
16 prevention in the future, I would imagine you would see
17 an inflated response. Absolutely, the context is
18 critical.

19 MS. DARIEN: I don't even think it is inflated.
20 I think it is just flipped.

21 MS. WHITE: I don't mean erroneously inflated.
22 I mean truly. Certainly you would have people

1 responding differently depending upon what you were going
2 to do with it.

3 Actually, in '06 we asked a couple of questions
4 about consumers' willingness to be part of a larger
5 database that the government would have for very similar
6 purposes, more for the greater good of the American
7 public. We did see that there was definitely interest
8 for consumers, but it wasn't as widespread as we would
9 like to see, potentially.

10 MS. DARIEN: Was this done before or after the
11 passage of GINA?

12 MS. WHITE: It was done a month after, which I
13 found very interesting. If there had been any publicity,
14 or to the extent to which there was media coverage about
15 it, it was probably happening right around or, frankly,
16 right before a flurry of communication, if you could call
17 it that, about the passage. We probably were in the
18 field where we would have expected to see the highest
19 levels of awareness, and we basically saw absolutely no
20 lift in awareness of protections from '06 to '08.

21 MS. AU: Paul.

22 DR. BILLINGS: I would like to ask for a couple

1 of points of clarification about the New York State
2 situation, which is complicated for my untutored mind.
3 For instance, several of the national labs, who I believe
4 practice in New York State, employ genetic counselors.
5 From what I think you said about the relationship between
6 labs and counselors, does that mean that for samples
7 collected in New York State the labs have not been using
8 those counselors as part of the process?

9 DR. WILLEY: No, those counselors either
10 provide education to the ordering physician or provide
11 guidance to the ordering physician in interpreting the
12 results.

13 DR. BILLINGS: They don't provide services
14 direct to the consumer?

15 DR. WILLEY: With the written authorization of
16 the ordering physician they can provide the service,
17 which would repeat the result and explain what it means.

18 By our criteria, that is probably not genetic counseling
19 in its fullest extent.

20 Now, are those genetic counselors talking to
21 patients who are tested in New York? Yes.

22 DR. BILLINGS: Yes, I know they are.

1 DR. WILLEY: But they are not supposed to be
2 providing genetic counseling.

3 DR. BILLINGS: Second of all, as I understand
4 your diagram, the result of a lab test cannot be provided
5 to the patient directly.

6 DR. WILLEY: No, with the written authorization
7 of the physician it can.

8 DR. BILLINGS: Right. So, if a doctor orders a
9 test and then goes out of town or on vacation and the
10 person is waiting for their cancer test result, they have
11 to wait until the doctor comes back?

12 DR. WILLEY: I believe it would be considered
13 negligent medical practice if the physician did not make
14 arrangements for that.

15 DR. BILLINGS: This leads to my question, then.
16 It is a remarkably intricate and important regulatory
17 network that you have set up. From New York's point of
18 view, what is working well and what needs reform?

19 DR. WILLEY: From New York's point of view, to
20 the extent that laboratories apply for permits, have
21 their assays reviewed, get permission to offer the assay
22 because its analytical validity and clinical validity

1 have been documented to our satisfaction and we are happy
2 -- and we look to other national organizations for what
3 criteria should be used -- and we generate a list of not
4 only the approved labs but the approved tests, that works
5 well.

6 We also do have a mechanism by which a
7 physician can make a request to use a lab that is not
8 permitted for a particular patient for a particular
9 clinical need, and we have never said no, so long as it
10 is unique to that patient and a justifiable medical need.

11 So you can use labs that don't have permits and you can
12 use permitted labs that aren't approved to do a
13 particular test if the clinician feels that is necessary.

14 That system works. What doesn't work, from our
15 perspective, is that a patient can go to Connecticut and
16 get the test. Unfortunately, that is true, and it argues
17 that we are providing overkill.

18 Our program costs us \$20 million to run. We do
19 regulate 1,600 labs. We believe we regulate over 75
20 percent of all the genetic testing done in the country
21 because all of the major labs are New York State-
22 licensed. The courts look with great disfavor when it

1 turns out the lab did not meet New York standards on a
2 specimen from Connecticut because, after all, New York
3 standards are more stringent and more rigorous than CLIA.

4 For the residents of New York State, our system
5 is working. For New York State residents who choose to
6 avoid the system, there may be problems. I do believe
7 there is really a problem for the rest of the country.

8 Just relevant to retention of specimens,
9 because it has come up in terms of the genome profiles,
10 New York State civil rights law requires a specimen be
11 destroyed at 60 days unless the tested individual
12 explicitly consents to its retention. It can be retained
13 deidentified for unspecified research. If it is retained
14 in an identified format or used for any genetics
15 research, it must be an explicit genetics research
16 consent.

17 The issue regarding genome scans has come up.
18 What about the data? It is more efficient to run the
19 full genome SNP profile using however many you can do at
20 once. You have the DNA. You can get all the data now.
21 You don't need to keep the specimen. That data is not
22 yet clinically valid because we don't know what it means.

1 Can we keep the data and mine the data later?
2 We have said yes, if the new analytical purpose of mining
3 the data has been validated and if the patient's
4 physician explicitly orders the new test. It gets very
5 complicated.

6 DR. BILLINGS: It seems insurmountable.

7 MS. AU: I have Dr. Dale, Kevin, and Mike, and
8 then I think we need to move on.

9 DR. DALE: Go ahead.

10 MS. AU: Go ahead, Kevin.

11 DR. FITZGERALD: Of course, the questions are
12 always too brief. Getting back to the personal utility
13 issue, which I don't want to become too confused,
14 obviously, one would hope, anything involving health care
15 would have personal utility. My question is going to be,
16 how are we going to try to put parameters around what we
17 are doing and to what end. So, where does clinical
18 utility come in as a bottom line, or is it the bottom
19 line? If it isn't the bottom line, what kind of utility
20 will be?

21 There is not only the possibility of personal
22 utility, there is also public utility. If we are

1 collecting this data and we are putting it in public
2 databases, obviously government institutions can come in
3 and claim the utility on their own to pursue their own
4 ends.

5 DR. FEERO: I will try to tackle that. I think
6 it depends a lot on what the desired end product is. I
7 would think if you were a payer for health insurance,
8 clinical utility would be largely what you were thinking
9 about. If you were a regulatory authority trying to
10 decide whether you should be able to offer these tests,
11 period, you would probably have to look at some sort of
12 aggregate measure of its overall worth rather than simply
13 saying clinical utility.

14 Let's just say some state decided to say no,
15 you can't offer genome-wide scans. To make that decision
16 I would think they would have to look not only at
17 clinical utility but at personal utility or some other,
18 more nebulous measure of whether or not for an individual
19 consumer this has value beyond the way the doctor, the
20 P.A., or the nurse practitioner is going to use the
21 information in a clinical setting.

22 I think it very much matters in what window.

1 To me, it would make sense to explore moving to a broader
2 definition and a very narrow view of clinical utility for
3 the majority of these discussions when we are talking
4 about it from a societal perspective.

5 DR. MILLER: I think some individuals would
6 argue that they can themselves decide if there is some
7 utility. Some people without a family health history,
8 for example, may find they have a personal utility for
9 this information that otherwise may not be.

10 DR. FITZGERALD: Right. I guess that then gets
11 back to what we see as the ultimate utility of this
12 information. Is this just another commodity for people
13 to buy, like a car, or is this in some way different
14 because it has to do with health care. Again, it is this
15 intersection of things. That is why I'm curious to see
16 where you see things going and where you see the line.

17 DR. FEERO: I would tell you to look around at
18 other healthcare applications for models of what you can
19 access and what you can't access. Don't use a genetic
20 exceptionalist perspective on this. You can go out and
21 buy a lot of things that don't make a lot of sense in our
22 healthcare system right now.

1 I think a big question that all of us should be
2 asking is, is genetics so different that we should be
3 holding it to a higher standard. I would argue that we
4 should at least entertain that because its applications
5 are so broad and potentially costly to healthcare
6 systems.

7 MS. AU: I think Mike and Jim are dying to jump
8 in on this.

9 DR. AMOS: As far as the process, the next part
10 of the agenda is to get into next steps and action items.
11 Considering the fact that our panelists have thought
12 about this a lot, before they go sit down and we lose
13 them would it be appropriate to ask you what you think we
14 should recommend to the Secretary as to what the next
15 steps should be with regard to direct-to-consumer
16 testing?

17 We might be learning something from the
18 research that is being done by these companies, but maybe
19 not. I'm still unclear. Is there the potential for
20 things to be learned, or would we be throwing the baby
21 out with the bath water if we shut everything down?

22 DR. TEUTSCH: Let me recast that. You can

1 advise us on things that we might want to take up rather
2 than specific recommendations. What are the areas that
3 we should be looking at that would add to the utility for
4 the Secretary?

5 DR. MILLER: When PMC was doing our work, we
6 just had the same conversation time and again. This is
7 early. We are talking about SNP technology and CHIP
8 technology. Soon, meaning five years from now at the
9 most, the technology is going to be completely different.
10 There is a baby-and-bath-water issue. There is also a
11 horse-out-of-the-barn issue, and I'm sure I could come up
12 with some more picturesque speech if I thought about it.
13 So I would suggest that this Committee look forward no
14 matter what you do.

15 MS. AU: Jim.

16 DR. EVANS: I just wanted to try to put in
17 perspective this issue of utility. I think that one of
18 the things that we all have to recognize is that robust
19 genomic analysis is definitely going to exist, probably
20 predominantly outside of the traditional medical model
21 and outside of the Academy. Therefore, I think when we
22 get to issues of utility, Mike's admonition -- or maybe,

1 Paul, it was your comment -- about personal utility
2 perhaps having some merit is well taken.

3 I think what we have to do in that context is
4 reconcile claims that are made with utility. In other
5 words, if laboratories are going to, either de facto or
6 explicitly, make medical claims, then they have to be
7 held to traditional models of clinical utility. If they
8 choose to market their products as entertainment or as
9 hobbies, fine. Then people are free to interpret their
10 own personal utility, but they then cannot make medical
11 claims.

12 I think what is really important is that we
13 have some reconciliation between the claims that are made
14 and what is actually being offered.

15 DR. MILLER: Greg could probably answer this
16 even better than I can, but I will take a stab at it. At
17 the CDC-NIH event, one of the roundtable participants
18 said the big three -- 23andMe, Navigenics, and deCODE
19 Genetics -- are talking to federal regulators, SACGHS,
20 and federal researchers and regulators, and there are
21 some companies who aren't. So I think these companies
22 are cautious about making medical claims.

1 DR. EVANS: Actually, they are making medical
2 claims. I think that is obvious in their websites and
3 their advertising. That is where I think we need some
4 reconciliation.

5 DR. FEERO: I think that is the real challenge,
6 the explicit versus the implicit claim of clinical
7 usefulness. I don't have a solid sense as to how you can
8 deal with that in the current environment beyond being
9 fairly draconian about what SNPs you are using.

10 MR. THOMPSON: Can I just respond to that
11 really briefly? I think that the answer ultimately is
12 that however you define the policy side of clinical
13 utility, it is really wise to keep a close eye on the
14 science side of it. NIH sponsored a conference about a
15 month and a half ago called the Dark Matter of the
16 Genome. Basically, we were trying to figure out where
17 all the inheritance is. There is all this SNP stuff
18 being done and these genome-wide studies being done, and
19 we are not seeing the amount of inheritance that would be
20 expected.

21 There are a lot of unanswered questions out
22 there. For companies to be making claims about anything,

1 it is making the people around me go, "What the?" I
2 think that is an important, ground-based reality
3 question. Stay close to the science.

4 DR. FEERO: I would like to go to the question
5 about what some of the next steps are. I think that one
6 of the things that this Committee could help to do is to
7 focus HHS's attention on the need for a very considered
8 and thoughtful approach to the issue of translational
9 research in this area.

10 I think that it is clear that the prime mission
11 of most of the research is in the early discovery phase.

12 That is probably very justified. It is exceedingly
13 justified. Just as we had a focus on ELSI early on in
14 this topic area, I think we are moving to a stage where
15 maybe there should be an increased emphasis, similar to
16 ELSI, on making sure that the movement to clinical
17 application is done in a careful and considered way.

18 DR. BILLINGS: I just wanted to point out, to
19 Jim's comment, that blood groups have been measured and
20 have an important clinical utility in transfusion and
21 transplantation. Yet there are cultures that use blood
22 group information for all sorts of things.

1 DR. EVANS: That doesn't mean that they are
2 correct.

3 DR. BILLINGS: They are what they are.

4 DR. EVANS: What I'm saying is we should not be
5 in the business of promulgating myths.

6 DR. WILLIAMS: I wanted to respond to Jim's
7 point. I'm not sure that I actually heard him right, but
8 this was also true in the information from PMC, if I'm
9 not mistaken. It seems to me that there is an attempt to
10 create an island of sorts by using terms like
11 "informational." In other words, there is recreational
12 testing, there is medical testing, and then there is
13 informational testing, which seems to relate to some of
14 this issue about personal utility.

15 I recognize that some of this reflects the
16 rugged individualism of the American people, but I would
17 be reluctant to let the company define where it wants to
18 sit. I think we would then be in the same sort of
19 situation we are currently in with nutraceuticals and
20 alternative medicine, which is if you claim "I'm
21 nutritional and I'm not a drug," you are exempted from a
22 tremendous amount of regulation. Yet we have very good

1 examples that in fact the harm may be quite more
2 substantial than what we have in the pharmaceutical
3 industry.

4 I think we have to be cautious about creating
5 safe harbors by using some of the language imprecisely.

6 DR. TEUTSCH: Let me thank all the panelists.
7 You have obviously sparked an interesting discussion that
8 we need to grapple with. So, many thanks. Chances are,
9 we will get back to you.

10 MS. AU: Thank you. Thank you, Christy.

11 [Applause.]

12 DR. TEUTSCH: Having heard all of this, do you
13 have some suggestions for how we proceed?

14 **Proposal for Short-Term Action**

15 **Sylvia Au, M.S., CGC**

16 [PowerPoint presentation.]

17 MS. AU: The next section is going to be a
18 proposal for short-term action for the Committee. The
19 proposal for the short-term action is that we develop a
20 brief document that reviews the concerns about direct-to-
21 consumer testing, such as limited data on clinical
22 validity and utility of tests, consumer and provider

1 understanding of test results, privacy protection,
2 companies that skirt oversight regulations, and false and
3 misleading claims.

4 The reason we picked those right now is because
5 we have recommendations from SACGHS on them. Instead of
6 making new recommendations, this would be taking
7 recommendations we already have to address these issues
8 and then recommending other action steps for maybe a more
9 in-depth report or other action. Keep in mind this is a
10 short-term action step.

11 When we went through the recommendations, which
12 all Committee members should have memorized and tattooed
13 on your body -- new members should have that done as soon
14 as possible -- we found that there were two
15 recommendations that would deal with the clinical
16 validity and utility data recommendation, three
17 recommendations that dealt with consumer and provider
18 education, one recommendation that dealt with privacy
19 protection, and one recommendation that dealt with false
20 and misleading claims.

21 I'm not going to read all these recommendations
22 to you, but as I was reading them again, I realized we

1 are a very wordy bunch.

2 The first recommendation that Cathy and I think
3 has to do with some of these direct-to-consumer issues is
4 the FDA evaluation of lab tests. I'm sure our FDA
5 colleague is very happy to hear that we are bringing that
6 up again, since they were so happy to hear that the last
7 time.

8 Continuing on with the clinical validity
9 recommendation, we have recommendations for creating the
10 public-private workgroup, developing criteria for risk
11 stratification and how to apply the criteria, and also
12 that lovely mandatory test registry.

13 Following that, we have another recommendation
14 about a public-private group of stakeholders to assess
15 clinical utility, which we have been discussing today.
16 That is a very long recommendation that goes on for three
17 slides.

18 We also have recommendations on funding
19 clinical utility research and how to disseminate that
20 information to the public so they can use it.

21 Education recommendations that we have are that
22 public and private entities should address knowledge

1 deficiencies and the need to train and educate healthcare
2 providers with appropriate funding, resources, et cetera.

3 That recommendation continues with having additional
4 funding for education and training.

5 We also have a recommendation that education
6 resources are made available on websites to help
7 consumers make informed decisions about their health
8 care.

9 We had that regulation that CMS loves about
10 CLIA oversight and privacy protections. Then we have the
11 regulation, again, that we had put up to address false
12 and misleading claims and to regulate marketing of
13 direct-to-consumer genetic testing.

14 Those were the seven recommendations that Cathy
15 and I could come up with. Of course, there could be
16 other ones that we could come up with. All of them are
17 actually at the back of the progress report that is
18 included in your briefing book if you want to start
19 memorizing them now.

20 Our next step, if the Committee decides that we
21 want to take this action step, is to form a small short-
22 term task force -- "short-term" meaning less than three

1 years long -- to develop a really fast report. This area
2 seems to be in the news a lot, so we can highlight some
3 of these existing recommendations that we have had for so
4 long. Then we can also have the short-term task force
5 look at what issues have not been addressed by our prior
6 recommendations, and what further work might need to be
7 done.

8 **Committee Discussion**

9 DR. TEUTSCH: Great. Andrea, did you want to
10 comment?

11 DR. FERREIRA-GONZALEZ: The idea is that we
12 will develop a brief report where we are specifically
13 addressing direct-to-consumer issues and then pulling
14 from the previous reports' issues. So we will be
15 highlighting that we are concerned about direct-to-
16 consumer testing.

17 MS. AU: Yes. Then we can also put what issues
18 we need further study on, because we are not going to do
19 this in-depth four-year report that we do all the time.

20 DR. FERREIRA-GONZALEZ: I think I like the
21 idea. I think it needs to be separately addressed, even
22 though we have addressed it in other reports.

1 DR. TEUTSCH: I would be curious about whether
2 we are monitoring the relative success of these
3 enterprises. The fact that they get a lot of coverage in
4 the media doesn't indicate that they are necessarily
5 flying off the shelf in terms of their popularity. I
6 wonder whether that data might frame some of the issues
7 or the amount of money being spent.

8 One of the things we saw in this panel is that
9 here in Washington a lot of money is being spent on DTC
10 genetic testing. I'm not sure it deserves it.

11 MS. AU: I think that is one of the issues the
12 small, short-term task force needs to look at, whether it
13 is actually happening. I don't know what we can do to
14 evaluate that unless they give us their financial
15 information, which would be interesting.

16 DR. TEUTSCH: We had some information today
17 when we heard that someone conducted a survey of a
18 thousand people and apparently zero, or close to it, had
19 used the testing.

20 DR. FERREIRA-GONZALEZ: As these start showing
21 up in these magazines, and with our esteemed colleague
22 representing us, I would expect that to rise.

1 DR. AMOS: I just think that Amy's
2 recommendation for looking forward is really critical.
3 At NIST we have looked at the GWAS studies and we have
4 made a decision not to worry about standards for this
5 because we don't think that the technology is going to
6 last that long. We are the government. It takes us a
7 while to do anything. In four or five years the
8 technology is going to be sequencing.

9 Maybe the kits are not flying off the shelf
10 right now, but when it is possible for \$1,000 to get your
11 entire genome sequenced, a lot of people are going to go
12 after that.

13 MS. ASPINALL: I would actually agree with
14 Mike. I think the relative financial or business
15 performance after a certain hurdle, if these are relevant
16 and being talked about, is not a key issue. We could
17 spend a lot of time saying what is successful and what
18 isn't successful. I think it is a broader policy issue.
19 We will deal with it a little bit in the Futures Panel
20 tomorrow, but it needs to be something that, from a
21 policy point of view, we think has the potential of being
22 relevant and therefore is high-priority, not literally

1 what is happening today.

2 DR. LICINIO: I think that, actually, the
3 current economic situation, if anything, is going to
4 pressure the companies to make these products cheaper.
5 23andMe went from close to \$1,000 to \$399 a few months
6 ago. The cost of doing this for them decreases, and
7 then, because of the financial pressure, they are
8 probably going to lower the cost, which may increase the
9 outreach. I think that we really have to continue to do
10 this.

11 DR. TELFAIR: I heard this earlier but I wanted
12 to echo it so it doesn't get lost in the morass. It is
13 going to be very, very critical to have some kind of
14 strong recommendation for monitoring and assessment,
15 whatever else we come up with. We should consider that,
16 particularly around this. If we are going to put forward
17 the policy issues, we also need to consider what is going
18 to be the mechanism to be able to do that. That is going
19 to be very critical in the long term.

20 DR. FROSST: I will start by widely agreeing
21 with Amy that the technology is going to be changing very
22 rapidly. I think by the time we really fully understand

1 what we think about this issue we are going to be looking
2 at sequencing rather than a scan.

3 Then I'm going to agree with Paul and say that
4 I think the volume of tests right now is small. I think
5 the amount of people that are signing up to do 23andMe or
6 Navigenics is small. If you look at it from a public
7 health perspective, does it merit all our time? Probably
8 not.

9 I think that if we consider the implications of
10 DTC for a gene scan versus the implications of DTC for a
11 whole genome scan, the main issues that we are going to
12 look at are very comparable. It is the broader issue of
13 people buying or getting information for which the
14 validity and utility are unknown and rapidly changing
15 that makes it an important point for us to look at.

16 DR. TEUTSCH: Barbara and then Marc.

17 DR. McGRATH: I was just going to say what you
18 said, so I will just second that. I think the price is
19 going down, but still, even at \$1,000 or \$400 in these
20 economic times, a certain segment of the population is
21 going to do it. As we think about the public health of
22 the nation, we should be cognizant of who we are talking

1 about. If we look at the larger issue of not
2 specifically the people who are having the DTC tests but
3 some of the principles about it, then I think it makes
4 good sense.

5 DR. TEUTSCH: Marc and then David.

6 DR. WILLIAMS: This also relates to the issue
7 of sequencing and cost. I think the point that is going
8 to be different is that the price point is not going to
9 affect consumer uptake. The price point is going to
10 affect the purchasers of services, like the government
11 and the payers. In other words, if payers can get the
12 whole genome at \$1,000, they are not going to pay
13 somebody else \$4,000 to get one gene.

14 I think it could completely change the
15 paradigm. Then the push is going to be very different
16 because we are going to have much more information than
17 what was specifically asked for. I think it will be a
18 changing paradigm, but a lot of the same issues relating
19 to validity and utility will still attend.

20 The small point I wanted to make was just to
21 emphasize something that I heard in the Cogent
22 presentation. Actually, they were all cogent

1 presentations, but specifically the named Cogent
2 presentation.

3 Physicians want a repository. Actually, the
4 physicians want a Good Housekeeping Seal of Approval,
5 which the government may not in fact be able to provide.

6 I think nine out of nine said, we want a registry where
7 we can go and see these things. I think that is a strong
8 external endorsement for what this Committee felt very
9 strongly about relating to having a centralized
10 repository for genetic testing. I would definitely want
11 to move that up the prioritization.

12 DR. TEUTSCH: David and Mara. Then Robinsue.
13 David, go ahead.

14 DR. DALE: I was just going to comment that I
15 appreciate Jim being willing to speak up about
16 unsubstantiated claims. On the other hand, the
17 technology has a real promise in terms of its medical
18 application. We need to push the research agenda to
19 define where that application is most appropriate.

20 DR. TEUTSCH: Mara.

21 MS. ASPINALL: I have two things. One piece
22 is, I very much agree with Phyllis's comment. I think in

1 general we have to be technology agnostic because we
2 cannot anticipate what technologies are and deal with the
3 information.

4 I guess, Sylvia, I go back to the comment about
5 the time frame and whether it is one year or three years
6 or four years. My concern on putting a priority on this
7 is when will the regulation likely be promulgated? If it
8 is a result of perceived or actual risk, there is going
9 to be a lot of activity on putting regulations on this.
10 That happens in the next year. Our report that takes
11 three years will not be relevant.

12 I think the prioritization in terms of timing
13 is our key issue. Coordinating with other bodies that
14 may be taking actions during this period of time is the
15 most important piece to ensure what we do is actually
16 relevant and helps the argument.

17 DR. TEUTSCH: I think we are talking about
18 something fairly short-term here, too. Robinsue, and
19 then I would like to see if I can pull some of this
20 together.

21 DR. FROHBOESE: Thanks. I just wanted to add a
22 very brief and technical point. To the extent that this

1 document is going to be reviewing main concerns, on slide
2 no. 2 one of the concerns listed is privacy protections.

3 I think it is going to be very important to ensure that
4 we are distinguishing between is this an inadequacy with
5 current privacy protections or is it, as I heard the
6 reports coming in, a lack of awareness or perhaps
7 misunderstanding of protections that already exist.

8 I just want to make that point because you will
9 see in the next session, when we get to research and the
10 HIPAA Privacy Rule, that that is another issue that we
11 are going to be raising.

12 DR. TEUTSCH: Let me see if I can pull this
13 together a little bit. The initial proposal was that we
14 look at our current recommendations and put together a
15 short report that could be looked at probably at our June
16 meeting and then promulgated.

17 I also heard some core issues being raised here
18 of things that are beyond what we have done, particularly
19 the discussion of clinical utility, as well as personal
20 or public utility, and how that should inform our
21 discussion. That seems to me to be a large and rather
22 core issue and certainly a lightning rod for our

1 discussion today.

2 I heard some issues on translational research -
3 - some of which I think were embodied in the clinical
4 utility recommendation -- for privacy, equity, and how
5 should these technologies go on being monitored.

6 I heard we should probably be technology
7 agnostic at this point because we can never get ahead of
8 that curve.

9 What I would suggest is that we get a small
10 group together to focus on the short term and give us
11 something to look at in June. They will look at our
12 recommendations and also tell us which of this
13 constellation of other things really rise to the level of
14 things that we should address in what time frame and in
15 what way.

16 DR. AMOS: I just had one other suggestion.
17 Writing and thinking about this should be fluid. Maybe
18 you could almost put in acceptance gates for the future,
19 to the point where you need a great deal of restriction
20 until the clinical utility and analytical validity is
21 understood. Then maybe you need additional restriction
22 until the standards are in place for the technology

1 utilization.

2 DR. TEUTSCH: Looking at the overall process of
3 dissemination.

4 DR. AMOS: Yes.

5 DR. TEUTSCH: Mara?

6 MS. ASPINALL: I would agree with your
7 recommendation, with one addition. That is, understand
8 what the other relevant bodies might be doing. I think
9 that would be a key piece to include in the June report
10 so we are not overlapping with what other groups are
11 doing.

12 DR. TEUTSCH: Does that seem like a reasonable
13 proposal, as amended? Is there anybody who disagrees and
14 wants us to do something different? If not, could I get
15 some volunteers who will work with our dear colleague
16 Sylvia Au?

17 MS. AU: All the people that I have helped.

18 [Laughter.]

19 DR. TEUTSCH: I have Jim Evans, David Dale,
20 Julio Licinio, and Andrea. I think that is great.
21 Others who want to, you can let the staff know.

22 DR. WILLIAMS: I would think Sarah, if she is

1 not on the list.

2 DR. TEUTSCH: I think that is a terrific
3 suggestion. Sarah, can we draft you?

4 DR. BOTHA: Sure. I will do my best.

5 DR. TEUTSCH: I think these are really critical
6 issues that go beyond our traditional FDA-oriented
7 clinical thinking about these issues.

8 Having reached this point and actually gotten
9 to a decision, we have earned a short break. Thank you,
10 Sylvia. Thanks to all the panelists. We will return at
11 quarter past to continue. Thank you.

12 [Break.]

13 DR. TEUTSCH: We are going to move on to the
14 next session. Welcome back. This session is on another
15 very topical issue, Informed Consent, Privacy, and
16 Discrimination Issues Related to Genomic Data Sharing.
17 This is very timely, as we are hearing all of the
18 discussions regarding HIPAA. We are going to take
19 advantage of Kevin, as he always talks about these
20 issues.

21 Kevin, let me turn it over to you to introduce
22 the speakers and the discussion.

1 **INFORMED CONSENT ON GENOMIC DATA SHARING**

2 **Session Purpose and Overview**

3 **Kevin FitzGerald, S.J., Ph.D., Ph.D.**

4 DR. FITZGERALD: Thank you, Steve. Actually,
5 it is great when you get to go a little later in the day
6 because there are normally many references to the topic
7 and the spectrum that you wish to address.

8 I have a lot of people to thank. I want to
9 thank Greg Feero for leading us right into this, asking
10 for next ELSI steps. I wanted to thank Robinsue, but I
11 think she disappeared on me, for talking about the need
12 to focus in on privacy. Is it a problem with the law; is
13 it a problem with public understanding; is it more than
14 all that; and if so, how do we describe that terrain.
15 Also, we heard from Christy White about the lack of
16 public awareness of legislation like GINA.

17 Finally, I would like to point out what Phyllis
18 was talking about briefly. If you do go to those
19 challenge grants and look in the bioethics area, every
20 topic that is listed has some connection to this area
21 that we are going to discuss now. You have informed
22 consent and data access policies, unique ethical issues

1 posed by emerging technologies, ethical issues in health
2 disparities and access to participation in research,
3 ethical issues associated with electronic sharing of
4 health information, ethical issues in the translation of
5 genetic knowledge to clinical practice, ethical issues
6 raised by blurring between treatment and research, and
7 recontact issues in GWAS-like studies.

8 All of these things are going to impinge upon
9 informed consent, privacy, confidentiality, potential
10 discrimination, all in the sharing of data.

11 What we would like to do today to dive in the
12 deep end, since we don't have enough time to wade from
13 the shallow, is take a look at two areas that have
14 already had some work done in them by other organizations
15 that work in parallel to SACGHS.

16 Our first presentation will be by another
17 person who is well known by this Committee, Rod Howell,
18 who is with us representing the one group in government
19 that has a worse acronym than we do for trying to
20 pronounce as a word.

21 [Laughter.]

22 DR. FITZGERALD: I'm not even going to try to

1 pronounce it, but it is the Advisory Committee for
2 Heritable Disorders in Newborns and Children. Rod is at
3 the University of Miami. He is the professor of
4 pediatrics and chair emeritus in the Department of
5 Pediatrics in the Leonard Miller School of Medicine. He
6 is going to enlighten us as to the efforts of our sister
7 group. Thanks, Rod.

8 **Informed Consent Issues of Concern to the**
9 **Advisory Committee for Heritable Disorders**
10 **in Newborns and Children (ACHDNC)**

11 **R. Rodney Howell, M.D.**

12 [PowerPoint presentation.]

13 DR. HOWELL: Kevin, thank you very much. I'm
14 delighted to be here. Actually, our name has improved
15 with the revision of our charter, which was just signed
16 this February. Our name used to be the Secretary's
17 Advisory Committee on Genetic Diseases and Heritable
18 Disorders in Newborns and Children. Apparently, the
19 folks that think about high things decided that
20 "heritable" and "genetic" were redundant, and so they
21 dropped one in the new charter.

22 I'm delighted to be here this afternoon. I'm

1 going to spend a fair amount of time actually talking
2 about this Committee. I'm going to talk a little bit
3 about what we have been trying to do. I'm going to spend
4 quite a lot of time talking about the discussions of the
5 Committee about how conditions are actually recommended
6 for the newborn screening panel, which is one of the
7 things you have been talking today about, the value and
8 utility and so forth of various and sundry genetic
9 testing.

10 Let me comment at the beginning of this that
11 our Committee, although it has a fairly broad charter,
12 has spent much of our time on newborn screening. There
13 are several very interesting things about newborn
14 screening that I think this Committee is very aware of
15 but that I would like to remind you of again.

16 Each year we test 4.1 million babies in this
17 country. At the current time, the average number of
18 tests done on the baby is about 30. So we are doing
19 about 120 million straightforward genetic tests using
20 genetic technology.

21 The other thing that is very interesting is
22 that all of this testing is done under the aegis of the

1 state health departments. These are public health
2 programs. Although we focus and try to recommend
3 national standards and national policies, the ultimate
4 decisions about how they are implemented and what the
5 take-up is reside with the states.

6 Let me just comment briefly. The Committee was
7 authorized under the Children's Health Act of 2000. That
8 is the same act, as a matter of interest, that also
9 required the establishment of the Children's Health Study
10 that is currently going on under NICHD. The Committee
11 first met in June of 2004 and has basically been
12 functioning for about five years.

13 At the time the Committee was founded, one of
14 the driving forces that was going on, and a problem, was
15 the fact that, as I mentioned, newborn screening is a
16 state program. There had been extraordinary variability.

17 This was becoming a tremendous problem, with some states
18 screening for handful of conditions, others screening for
19 many.

20 As people moved around, this created very real
21 problems. If you had a child that was born in
22 Connecticut and identified with a given condition, and

1 you moved to Virginia, which was one of the slowest
2 states to move along, they were not screening for it.
3 You had a new baby, so what did you do. It was a very
4 big issue.

5 Let me show you what has happened since we
6 started work in the summer of 2004. This is just a
7 snapshot showing that at that time about 28 of the states
8 in the country were screening for under 10 to 20
9 conditions. As you see, in December of 2008 those fewer
10 than 10 and fewer than 20 have fundamentally disappeared.
11 Virtually all the states in the country are currently
12 screening for what has been recommended as a core set of
13 conditions.

14 Fundamentally, this statute has said that we
15 are supposed to come up with ideas and recommendations
16 for a state screening program that would meet "federal
17 guidelines." The Committee also was required to
18 establish a grant program, which I might point out never
19 had any money in it until last week. That will be an
20 interesting thing.

21 Now, when we first started working on this, one
22 of the discussions that came up in this august group that

1 I have the privilege of working with is that we were
2 making all these recommendations but, since newborn
3 screening is a state program, we could make all the
4 recommendations we wanted but nothing was ever going to
5 happen. The first slide I showed you has shown that not
6 to be true. Basically, what has happened is that once
7 national standards and so forth are recommended by a
8 group that thinks them through carefully, the states tend
9 to pick them up with their review committees. Also, I
10 will not get into it, but parental work at the state
11 level has been very important in moving this along.

12 A bill was recently passed in 2008 to
13 reauthorize this Committee. It is reauthorized under a
14 very large bill called the Newborn Screening Saves Lives
15 Act of 2008. It was passed, unanimously I might point
16 out, by a voice vote in both the House and the Senate and
17 signed by President Bush in late 2008.

18 It has requirements for the Secretary of HHS to
19 ensure quality of laboratories involved in newborn
20 screening and to develop a national contingency plan for
21 newborn screening. This became a very big issue during
22 Katrina, when the state laboratory of Louisiana was

1 completely wiped out in the hurricane. You had all of
2 the operations of the state, et cetera.

3 It also had specific discussions about the
4 National Institutes of Health carrying out research in
5 newborn screening, including new technologies. NIH has
6 already been doing that, but it has a lot of language
7 that directs the NIH and also names the program at the
8 NIH the Hunter Kelly Newborn Screening Research Program
9 after one of the big advocates for this bill.

10 The Committee has spent a great deal of time
11 considering how conditions should be added to the panel.

12 The nomination process has been worked on and approved
13 by the Committee. It was felt that there should be broad
14 access to the process, that anybody should be able to
15 nominate a condition. The process should be very
16 transparent. There should be consistent criteria, and
17 there should be a structured evidence review group.

18 This is one of the more exciting things, I
19 think, that the Committee has done. That is, there have
20 been never been traditional evidence reviews of rare
21 conditions because they are rare, and the traditional
22 patterns of review don't work terribly well. The

1 Committee has contracted with Dr. Perrin at Harvard to
2 organize and do evidence reviews in a systematic way of
3 anything that comes to the Committee. The three areas of
4 consideration are the condition itself, the test, and the
5 treatment.

6 This is the nomination form. It is in your
7 briefing book here. I won't spend a lot of time going
8 into it, but it has a section discussing the incidence of
9 the condition, the timing of the onset, and the severity.

10 It has a lot of information about the test itself, as
11 you have been discussing today, as well as how the test
12 is to be used, the validity, the laboratory performance,
13 confirmation, the risk, and the treatment. That includes
14 modality, urgency, efficiency, availability, et cetera.
15 It has a core set of references.

16 This is very similar to the nomination form
17 that was used by the American College of Medical
18 Genetics, but it has been polished and so forth. The
19 very big thing is the evidence review committee.

20 The condition is nominated. The Advisory
21 Committee looks at a nomination form like you just saw.
22 The Committee and a subgroup of the Committee will look

1 at that and decide based on the information there whether
2 it looks like a reasonable nomination and is sufficiently
3 meritorious that it will be sent for an evidence review.

4 The evidence review is a big deal. It is
5 expensive. Everything that comes along is not deemed
6 worthy of an evidence review because of the money and
7 time that it costs. Fundamentally, the Committee has
8 approved that.

9 This is just a very simple thing. The
10 nomination form comes in, and it goes through a federal
11 administrative review at HRSA. Dr. Puryear is executive
12 secretary of the Committee, and she resides at HRSA. Her
13 staff looks at the nomination just to be sure it is
14 complete. They do not make decisions, but all the stuff
15 has to be there and so forth.

16 The Advisory Committee looks at it and then
17 sends it for an evidence review. It goes through the
18 evidence review and then comes back to the Committee, and
19 they send a recommendation to the Secretary.

20 These are the questions that are in the
21 evidence review. They basically are taken heavily off
22 the nomination form, and I won't go into that. They

1 include the benefits of the treatment, the harms or
2 risks, and the cost.

3 The evidence review has a decision model and
4 evidence questions. The search methods that are to be
5 used are defined. Dr. Perrin's group reviews peer-
6 reviewed literature only, English only. They, however,
7 do look at gray literature from pharmaceutical companies
8 and so forth. They exclude case reports, which is a
9 problem with rare diseases, but they do exclude those.
10 They review consensus statements as guides but not to
11 abstract those.

12 They do standard quality assessment methods. I
13 might point out it is a traditional evidence-based
14 system. They analyze any raw data that they can acquire
15 from unpublished sources. They also routinely have focus
16 groups of experts. They have investigators and families.
17 Then they synthesize the data and provide it to the
18 Committee.

19 They look at any rationales in treatments.
20 Fundamentally, it is to provide timely information for
21 the Committee so that the Committee can make specific
22 recommendations.

1 The results come back to the Committee. We
2 have had a chance now to have several of these reviews
3 come back to the Committee. They summarize the key
4 findings and they indicate, which is extremely helpful,
5 where evidence is absent, what evidence would be most
6 critical, what we don't know, the level of certainty, and
7 new information.

8 The expert review group is independent and does
9 not make decisions. It provides detailed information
10 that comes back to the Committee.

11 The decisions by the Advisory Committee, I
12 might point out, will be published. They are all on the
13 website, but they will be published in journals as they
14 come along.

15 Here are the recommendations that the Committee
16 might make. Once it goes to the evidence review group,
17 it comes back to the Advisory Committee. The Committee
18 can review all that and make the following
19 recommendations.

20 We can recommend adding to the core panel.
21 That means that all the information is there, the data is
22 there, it is convincing, it works, the treatment is

1 there, et cetera, and we should recommend that it be
2 added. We have not yet had a condition come to the
3 Committee that has met that level, I might point out.

4 The second is, we can recommend not adding to
5 the panel but doing additional studies. The kind of
6 information you would get back is that this is an
7 important condition, the treatment really looks good, the
8 test looks like it works, but there hasn't been a test
9 done in a public health laboratory in a large group and
10 so we really don't have sufficient information to
11 recommend going to a core panel.

12 The third is recommending not adding to the
13 panel but additional evidence is needed. That is very
14 different because there just doesn't seem to be enough
15 information there to make a decision. In other words, we
16 don't know enough about the condition. Basically, you
17 need to get this together and come back.

18 Finally is recommending not adding to the
19 panel. That last recommendation is a level of certainty.
20 In other words, the data are there. It does not seem to
21 justify being added to the panel with certainty. That is
22 a level of certainty. The first and fourth would be

1 certainty.

2 Now, at our meeting very recently we had two
3 major discussions that I would like to describe to you.
4 It is very much what you are dealing with here. The
5 first was translational research policy, with
6 introduction and discussion of institutional review
7 boards and informed decisions. An extraordinarily
8 important area that we discussed was residual blood spots
9 and their policies and use.

10 The institutional review board discussion was
11 moderated by Jeff Botkin. We had presentations and
12 discussions by Ed Bartlett from the Office of Human
13 Research Protection and from Alan Fleischman, who serves
14 as ethicist on the National Children's Study. He is
15 medical director of the March of Dimes.

16 Jeff Botkin provided an overview of the
17 regulation and oversight of research with children. Dr.
18 Bartlett discussed the regulatory options for multi-
19 center research, meetings on alternative IRB models, and
20 proposals to hold the IRBs directly accountable. Then
21 Alan discussed the translational research and how we can
22 make it work. He also provided an overview of the

1 California and Massachusetts models for obtaining
2 informed consent.

3 Let me comment just briefly about the
4 California and Massachusetts models of obtaining informed
5 consent. When California was introducing tandem mass
6 spectroscopy, it was deemed, since this was an
7 experimental technology, that they would need to acquire
8 informed consent in a large pilot project. That turned
9 out to be extremely complicated, and they got only a very
10 small portion of the people that they asked to
11 participate. That has obviously been discussed a great
12 deal, but about 25 percent participated.

13 On the other hand, Massachusetts had a similar
14 type of program in that they had what I will call their
15 usual pattern of screening tests that they were doing.
16 As they decided to expand the panel, they did that with
17 permission. Interestingly enough, they did this for a
18 number of years, and it turned out that nobody was
19 turning them down. In other words, they were getting
20 permission from virtually everybody. Obviously, the
21 method of getting permission was different, but that is a
22 very interesting area.

1 Now, one of the reasons we are particularly
2 interested in institutional review boards and research is
3 that at the current time, as we move into new conditions
4 that might be used in newborn screening nationally, we
5 will be doing multi-center research programs. In other
6 words, our Committee will not be, but the group that we
7 work with will be. Obviously, these become very, very
8 important issues to discuss.

9 Now, our final discussion was residual blood
10 spot policies and usage. Harry Hannon, whom many of you
11 know, has been responsible for the operation of the
12 quality assurance program at the CDC for newborn
13 screening for decades. Harry reviewed with the Committee
14 the current patterns of storage retention and use of
15 residual dried blood spots in the country.

16 I think that this group is aware of the
17 tremendous interest in the dried blood spot at the
18 current time. Obviously, it is used in newborn screening
19 for looking for certain metabolize enzymes, but it is
20 obviously used for genome-wide studies in certain
21 conditions.

22 Some states do not retain these spots at all.

1 In other words, they will discard them promptly. The
2 major reason they discard them promptly is they don't
3 want to deal with the question of how to store and use
4 them. The safest way to get around that is to throw them
5 away.

6 At the other end of the spectrum, there are
7 states that preserve them in perpetuity in very careful
8 conditions. California is certainly a good example of
9 that. With 500,000 deliveries a year, they have
10 literally millions of spots on hand.

11 I might point out, states will keep them for a
12 huge variation, either weeks, months, or years. How the
13 states use them was addressed by Jeff Botkin. They have
14 commonly been used by state laboratories in establishing
15 a new test. For example, if you want to set up tandem
16 mass spectroscopy, it has been traditional that those
17 spots would be anonymized and brought into the laboratory
18 to see if your test is working and are you getting
19 results. They have been used for that.

20 They have been used in an anonymized fashion by
21 many, many states. Obviously, for them to be used with
22 their name attached has historically always required

1 parental permission.

2 In talking about dried blood spots, it would be
3 a travesty not to mention Denmark. Denmark has been
4 retaining their samples for over 25 years. They have one
5 of the most well organized and well monitored
6 repositories in the world at the State Serum Institute
7 there, operated by Dr. Bent Petersen. They have federal
8 legislation dealing with those spots.

9 Those spots have proved invaluable in Denmark
10 for a variety of studies. Number one, they can find all
11 their people. People tend to stay in Denmark, and so
12 they can find people for a long time. If they find a
13 given condition in someone who is 20 years old, they can
14 go back and retrieve that spot and identify things. It
15 has really been a valuable repository.

16 For example, one of the things that they are
17 considering doing at the current time, which we don't do
18 in this country, is looking at the cytomegalovirus and
19 how important it is for hearing difficulties. Denmark
20 has an incredibly well organized hearing program. They
21 know everybody in the country who has hard-of-hearing
22 situations and how hard of hearing they are. They are

1 preparing to go back now and look at their dried blood
2 spots to see how many of those might be related to CMV.
3 They use those in a very efficient way. I might point
4 out they have very discrete and well-defined federal
5 regulations about what they can do.

6 Our Committee in the coming weeks is going to
7 be drafting a white paper that will discuss some of the
8 issues about institutional review boards. After
9 considerable discussions, we obviously are going to make
10 some recommendations to the Secretary about policies for
11 retaining blood spots and informed consent for stored
12 samples. I think these will be very key issues as we
13 move forward in the coming weeks and years. Thank you
14 very much.

15 DR. FITZGERALD: Thank you, Rod. Thank you
16 again for a marvelous presentation, which I'm sure is
17 going to raise a lot of questions. We are going to hold
18 the questions for now. We will go to our second group,
19 which is being led by Larry Gostin, who was the chair of
20 the Institute of Medicine Committee on Health Research
21 and the Privacy of Health. That then led to a report
22 which is Beyond the HIPAA Privacy Rule: Enhancing

1 Privacy, Improving Health Through Research. Larry is
2 also one of the editors of that report.

3 I have to tell you that Larry is a faculty
4 member of a peerless academic institution here in
5 Washington, D.C., often known as Georgetown University.
6 With you, if I'm not mistaken, are a couple of others.
7 Stanley Crosley is an attorney and chief privacy officer
8 at Eli Lilly. Dr. Tom Croghan is senior fellow at
9 Mathematica Policy Research here in Washington, D.C.
10 Andrew Nelson is the executive director of Health
11 Partners Research Foundation.

12 **Institute of Medicine Report: Beyond the HIPAA Privacy**
13 **Rule**

14 **Larry Gostin, J.D.**

15 [PowerPoint presentation.]

16 DR. GOSTIN: We decided, since we have a
17 relatively short amount of time, that we would dispense
18 with all of us giving the remarks. My colleagues, who
19 will come up and stand in the back, will hopefully be
20 able to answer any of your questions.

21 I will take about 10 minutes or so to
22 familiarize you with the report and then we will take

1 questions. I have to ask your forgiveness before I even
2 begin because I do have to leave a little bit early. I
3 have another appointment.

4 The Institute of Medicine had the following
5 charge. We were asked to make an assessment as to
6 whether the HIPAA Privacy Rule undermined or interfered
7 with health research. If so, what recommendations might
8 we make for the reform of the HIPAA Privacy Rule.

9 Clearly, this rule is of very great importance
10 at the moment. The stimulus package gave a good deal of
11 money for health information technology and also tried to
12 firm up some of the provisions in the HIPAA rule.
13 Similarly, it sent the rule back to HHS asking for some
14 reformations, so we believe that our report is timely and
15 important.

16 In answer to our charge, we found that the
17 HIPAA rule did in fact undermine important and valuable
18 health research. We therefore made a number of
19 recommendations about privacy relating both to the HIPAA
20 rule and to the Common Rule.

21 We took the view that there were two
22 exceedingly and equally compelling values in society.

1 One of those values of course is privacy and security, so
2 that patients must have strong expectations that their
3 personal information will be kept in a private and secure
4 way. At the same time, we thought there was an equally
5 compelling individual and societal value in research
6 because, without good quality research, the public is
7 less safe and less healthy. It thwarts important
8 scientific discoveries. We as a society have equally
9 powerful interests in both.

10 The IOM Committee therefore made
11 recommendations which we think will do both, which is to
12 improve privacy and also to maintain and indeed
13 facilitate important and valuable research in our
14 society. We took the view that the HIPAA Privacy Rule
15 and the Common Rule were actually intended to protect
16 privacy, but in fact don't protect privacy very well at
17 all. At the same time, they have the adverse effect of
18 really impeding important research that we need to do in
19 the country.

20 We therefore made two sets of recommendations.
21 One is a bold, innovative approach to changing the
22 entire framework or paradigm of how we think about

1 privacy, consent, and research in the United States
2 today. It is something that doesn't follow the same
3 model of autonomy, control, and ownership of information
4 which has been very much a part of bioethics and law for
5 a long time and, frankly, what the public expects. We
6 are very clear that we face an expectation of the public
7 that doesn't conform with our views of how this should be
8 protected.

9 At the same time, as we have delivered our
10 report and as we have talked to bioethicists, lawyers,
11 and policymakers in the country, while not everyone
12 agrees with it, everyone thinks that we need to have a
13 new, fresh, careful approach to privacy and research.

14 The second part of our report was under the
15 recognition that not everyone will agree with our
16 innovative strategy. Even if they do agree, and we
17 believe that many will agree, the political obstacles of
18 doing that are extremely difficult. We therefore made a
19 number of very careful, detailed, and, I believe,
20 thoughtful recommendations for reform of the HIPAA
21 Privacy Rule and the Common Rule which would have the
22 effect both of improving privacy and facilitating

1 research.

2 Let me very briefly give you an account of
3 these two approaches. First, the bold approach. Why do
4 we say that the current model of authorization and each
5 individual's control of information is not protective of
6 privacy. There are several reasons. One is the fact
7 that the Privacy Rule and the Common Rule are what
8 lawyers call under-inclusive. That is, they only apply
9 to a certain number of patients and transactions, leaving
10 many other patients, research participants, and other
11 transactions who are not covered under the rule virtually
12 unprotected. So you have a rule that protects some and
13 doesn't protect others.

14 The second reason is that we found that the
15 Privacy Rule and the Common Rule are highly inconsistent
16 and have extreme lack of uniformity. In any given
17 situation, depending upon which rule applies or how the
18 rule is interpreted by an IRB or a privacy board, what
19 will happen is that you will have opposite or
20 inconsistent results.

21 The under-inclusiveness -- that is, who should
22 be protected and who shouldn't -- and the inconsistency -

1 - that is, two different people or two different
2 circumstances of like circumstances being treated
3 differently -- we found had no ethical, legal, or other
4 principle that justified them. It was simply a question
5 of happenstance in how these rules evolved over time, but
6 there was no even colorable ethical reason why you would
7 treat these situations so differently.

8 Finally, we find that the current model doesn't
9 protect privacy because it is mostly formalistic and not
10 meaningful. When a patient goes to a doctor's office,
11 for example, and is given a privacy notice, most of us
12 don't read it. I'm a law professor, and I barely
13 understand it. It really wouldn't matter if I did
14 understand it because if I didn't sign it I wouldn't be
15 treated anyway. That is really only a formalistic way,
16 the accounting for disclosures, the privacy notices. It
17 is really substituting form for substance.

18 We wanted to go to a model that really was not
19 something that was form but substance. We made a lot of
20 proposals for essentially two things. One is to have
21 very strong privacy safeguards to make sure that
22 institutions that hold data for research purposes are

1 certified and are trustworthy. Secondly, that they have
2 privacy practices as to who they would authorize getting
3 that information which are consistent and strict. Third,
4 that there are very detailed and careful security
5 provisions.

6 If you think about what patients or research
7 subjects should be worried about, it is really those
8 things, not having absolute command and control over
9 every bit of their information.

10 At the same time, we found that having this
11 idea of consent doing all the work in this area thwarts
12 research in very significant ways. We discuss many of
13 them in the report, but one that I want to point out is
14 the problem of selection bias. If each and every
15 individual controls all of their information and some of
16 them would be more likely to opt in and some more likely
17 to opt out, it means that the results may be wrong or
18 skewed in the wrong direction.

19 There are other reasons. For example,
20 researchers may not need to have names and so forth, but
21 they may need to be able to follow individual research
22 participants over time. To do that, they have to have a

1 means of linking. We suggest that in our report in a way
2 that we believe would be very helpful.

3 Finally, if you have any individual patient, or
4 10 patients, or 100 patients or subjects, or 1,000, or,
5 in genome association studies, tens of thousands, if
6 every single one of them could say, "I agree to this
7 piece of information but not to that," or "You can use it
8 for prostate cancer but not for breast cancer, or for
9 heart disease but not AIDS and STD," to me, that doesn't
10 make common sense. It really isn't protective of what we
11 are trying to protect, which is to make sure that
12 insurers, employers, family, and friends don't get this
13 information in ways that harm or embarrass.

14 We make a number of very bold proposals to
15 change the paradigm, but we also recognize the political
16 problems and that not everyone will agree that we ought
17 to change the model. We understand there are genuine
18 differences of perception. We therefore make very
19 detailed proposals about how we could change the Common
20 Rule and the Privacy Rule either by more clarification in
21 interpretation and guidance by HHS and OCR or by changes
22 in the HIPAA rule. We notice in the stimulus package, as

1 I mentioned, HHS is being asked to reopen that, so we
2 think it is timely. Finally, only if it is necessary, we
3 will ask Congress to make some changes.

4 We tried to have a gradualist approach and make
5 it as easy as possible for policymakers, if they agree
6 with our approach, to be able to adopt it in ways that
7 make sense.

8 We thank you very much for allowing us the
9 opportunity to present our report to you. We will have a
10 paper in JAMA summarizing our conclusions and adding
11 additional observations in the first week in April. We
12 will invite our staff and committee members to come up
13 and answer any of your questions. Thank you very much
14 for having us.

15 DR. FITZGERALD: Thank you, Larry. That was
16 excellent. We would like to invite the staff members to
17 come up, please. Rod, if you would please come back up,
18 that would be great.

19 I think the presentations will probably
20 engender a good deal of comment or question from this
21 normally shy and retiring group, so I will throw the
22 floor open at the moment. Sylvia, you get to go first.

1 had the nurse say, "Are you informed? Do you want to
2 participate?"

3 We couldn't do that in the end because the
4 California people realized that some of the nursing staff
5 were sticking the "yes" sticker on without asking the
6 patients if they really meant yes.

7 DR. HOWELL: I think Sylvia's comment brings up
8 the issue of when you are trying to do informed consent
9 for something that is national or state-wide and you have
10 to deal with so many IRBs. It is a deadly problem. That
11 is obviously a significant thing.

12 I think the other thing that Michelle reminded
13 me of is that in Massachusetts they use an informed
14 dissent program, which is a little bit different side of
15 events. Again, many of us in the field feel that
16 probably the best way to look at the informed consent in
17 newborn screening is basically to have a very good
18 information program and then have people dissent who do
19 not want to.

20 DR. TEUTSCH: Before we leave the newborn
21 screening, I have a quick one, Rod. It is great to see
22 that this is getting on a much firmer evidence-based

1 footing. Going forward that should strengthen things.
2 Are you going to have a chance to go back and look at the
3 ones that were already recommended and reassess those to
4 see how strong the evidence base is for those? I know
5 that becomes a challenge.

6 DR. HOWELL: That has been discussed. At this
7 point in time I don't think any decision has been made
8 about that, period. It has not been made.

9 DR. FITZGERALD: Just following up on that
10 issue, actually I'm intrigued by the body language here.
11 Were any of you involved with working with Larry before?

12 [Laughter.]

13 DR. FITZGERALD: Anyway, in the report one of
14 the issues I'm sure which is going to be huge to wrestle
15 with is the database issue. The VA has a huge database.
16 So does DOD. The Indian Health Service has a very
17 interesting database in newborn screening. How are you
18 addressing that particular issue with this idea of
19 restructuring our way of looking at privacy?

20 DR. CROGHAN: The Committee has discussed the
21 issue of linking databases, which is really a main part
22 of what you just mentioned. It is very important to

1 health services researchers and will be increasingly
2 important to all of us, particularly with genetic
3 information.

4 There were several recommendations. The one I
5 want to mention is to have some sort of certification of
6 organizations that had met all of the criteria that Larry
7 mentioned, such as security, privacy practices, and so
8 on, who would then be trusted to take data from various
9 data sets, link them in sensible ways that made them
10 research-usable, and then make them available in a
11 deidentified manner or in a limited data set manner,
12 depending on what was most appropriate for the research
13 question.

14 DR. FITZGERALD: Just as a follow-up to that,
15 one of the issues that has come up before this Committee
16 is this idea of how to define "deidentified" anymore. If
17 we do start sequencing genomes for \$1,000 and it only
18 takes 70 SNPs to identify somebody, is there a set of
19 criteria that you have for that particular issue? What
20 are you going to use as a standard for deidentification?

21 MR. CROSLY: The Committee looked at a lot of
22 different resources when we did this. One of them was to

1 look outside of the U.S. as well. As you may know, the
2 27 member states of the European Union have an organizing
3 body around data protection called the Article 29 Working
4 Group, referencing the article of the European Directive
5 that created the group. They have written a paper,
6 WP139, which references in fact genetic information.

7 Their assessment was at this point sequencing
8 of data and genetic information in general is still not
9 identifiable without the reference.

10 That doesn't directly answer your question.
11 Your question is, five to 10 years from now, 50 SNPs, 70
12 SNPs, whatever the number, how will that be created. I
13 think that one of the recommendations from the Committee,
14 apart from the Privacy Rule having its own model, enables
15 you to be more nimble and to be more flexible in your
16 assessments without all of the other entanglements of the
17 rest of health care which the Privacy Rule has to
18 consider as it makes changes.

19 I think Tom was explaining there are protective
20 mechanisms around reidentification that the Committee
21 focused on some, versus what is truly deidentified. We
22 are setting up the model to prevent the harm rather than

1 trying to pursue an elusive concept of continually
2 updating the deidentification criteria.

3 DR. FITZGERALD: Thank you. I just wanted to
4 point out to everybody, in spite of our efforts to
5 deidentify Tom, he is still identifiable because he is
6 the only one left on the list who has not been
7 identified.

8 Any other questions from the group? Yes,
9 please.

10 DR. CAROME: I had a question for Larry and
11 your colleagues. Separate from the issue of lack of
12 coverage of the Common Rule, that it doesn't cover all
13 human subject research involving data, and separate from
14 the inconsistencies between the Common Rule and the
15 Privacy Rule, were there specific provisions of the
16 Common Rule that you identified as being problematic?
17 That didn't come across clearly to me in looking at the
18 Committee's recommendations.

19 MR. NELSON: The Common Rule is an HHS-wide
20 adopted Common Rule. At the same time, trying to
21 harmonize that with the Privacy Rule sometimes confuses
22 IRBs. Oftentimes when confusion happens at a local

1 level, then more conservative decisions are made. So you
2 have less organizations, less individuals, and less IRBs
3 who are willing to do multi-site studies. Therein lies
4 the complication.

5 DR. CAROME: So you really are focusing on the
6 lack of harmony between two rules. If the Privacy Rule
7 didn't exist and you only had the Common Rule, which
8 applies to multiple federal agencies in addition to HHS,
9 would there still be a problem? That is what I'm getting
10 at.

11 MR. CROSLY: Yes. There are a couple of
12 things. One is a more comprehensive privacy regime to
13 accompany the Common Rule and the acknowledgement that
14 privacy and research are equally critical and equally
15 important. The Common Rule isn't specific enough and
16 doesn't go far enough in its privacy protective regime.
17 So it is a marriage of the privacy regulations under
18 HIPAA with the Common Rule.

19 Then there were some very specific security
20 recommendations, regardless of which paradigm was used.
21 I think that is probably the most significant.

22 Also, there were areas like secondary use.

1 There is a potential overreliance on the Common Rule
2 having figured out how the IRB should advise on whether
3 the consent form was sufficient to apply to some
4 secondary use. Certainly, there was an understanding
5 that expertise would exist within the IRB to solve some
6 of the issues that we already have with the Common Rule,
7 I think.

8 MR. NELSON: The final thing is that the Common
9 Rule only covers what is funded by the federal
10 government. We feel very strongly that this should apply
11 to all research, no matter what funding source.

12 DR. FITZGERALD: Using a very complex and
13 powerful algorithm, we have now identified Tom. We just
14 wanted you to know that.

15 [Laughter.]

16 DR. FITZGERALD: Sue, did you have any
17 comments?

18 MS. McANDREWS: Yes. In terms of full
19 disclosure to complete the Georgetown control of this
20 whole conversation, I did get my law degree from
21 Georgetown Law. We now have all sides of the triangle
22 there, and we rule.

1 On behalf of the Office for Civil Rights, I did
2 want to thank the IOM for their report and their
3 recommendations on how to improve privacy and security in
4 the research context. We do appreciate their efforts in
5 struggling with the very difficult balancing that we have
6 dealt with in trying to design the HIPAA Privacy Rule in
7 terms of individual interests versus societal interests.

8 It is a matter of balancing the need for the data and
9 the need of the individual for privacy and
10 confidentiality when exposing their data and being
11 willing to share their data in order to get the treatment
12 that they need and deserve. We do not want fear of
13 secondary uses to interfere with their ability to get
14 care in the first place.

15 I want to just say that we have, since the
16 beginning of the HIPAA Privacy Rule, endeavored to work
17 with the research community in aligning the provisions
18 and that we did make substantial realignments back in
19 2002 which did go to two of the areas that still showed
20 up in the IOM report as needing further reconciliation.
21 Those are the accounting for disclosures as well as the
22 simplification of how you can go about waiving the

1 authorization requirements, largely for access to
2 information as opposed to clinical trial interactions
3 with the patients themselves.

4 In part, I would ask to what extent the report
5 and the recommendations in those two areas really took
6 into account the steps that were made back in 2002 and
7 focused on the practices and problems that may have
8 continued to reside in those two areas, as opposed to
9 simply being a reaction to people's opinions back in 2000
10 when the rule was first issued.

11 DR. CROGHAN: I will start. First of all, in
12 the interest of disclosure, I'm also a faculty member at
13 Georgetown.

14 Secondly, I want to point out, we recognize the
15 challenges that OCR faces. The Committee was of the
16 strong opinion that privacy and health research are both
17 private and public goods and that neither one occurs
18 adequately without the other one. We really were trying
19 to improve or enhance both in all of our recommendations.

20 With regard to the specific comments on notice
21 about disclosure and so on, we did hear from OCR. In
22 fact, they was very helpful in our discussions. We were

1 aware of the changes prior to the 2003 implementation.

2 We also heard from the research community.

3 They are still barriers. Not as much as they would have

4 been had the changes not been made, but they were still

5 getting in the way of achieving our goals of enhancing

6 privacy. We did hear from organizations who, because

7 they didn't understand or correctly interpret, would not

8 release records. Researchers had these experiences.

9 In fact, in our last meeting we also heard that

10 the accounting for disclosure rules actually have a cut

11 point of 50 records or something. There are in fact many

12 research projects, including one that I recently had,

13 where we were getting two or three records from a hundred

14 physicians. Something like a third of the physicians

15 just didn't understand the rules and therefore didn't

16 give us the records.

17 So we did take the changes into account. There

18 continue to be barriers. We think that they could be

19 improved upon.

20 DR. FITZGERALD: Following up on that, when we

21 look at some of these research programs that are going to

22 use databases and the information that is there or can be

1 gathered, the newborn screening database actually might be
2 one which is somewhat representative. Much of what we
3 have right now as data is not truly representative of the
4 diversity within this country.

5 The groups that have been marginalized up to
6 this point may have good reasons within their groups for
7 suspicion of benefits coming from any major research
8 projects, but it is still my understanding that in order
9 to get their information into these research programs in
10 a way that will take into account their lack of
11 representation, they actually need now to be
12 overrepresented in the research programs that go ahead.

13 It seems you have a potential issue there that
14 could really gridlock the system as we move ahead. Any
15 thoughts on how to address that particular challenge?

16 DR. CROGHAN: I will start. We did some public
17 surveys through the Harris Public Poll, and we had
18 members on the Committee who represented patient groups.

19 The most vulnerable groups, those with AIDS for example,
20 those with mental health problems, those who had the most
21 reason to be concerned about their privacy because of the
22 potential for harm, were actually the ones who were most

1 likely to endorse releasing their data without prior
2 consent and to endorse participating in research. Now,
3 remember this is a public poll, so that comes with its
4 own problems.

5 The members of our Committee who were engaged
6 with these patient groups with chronic diseases, actually
7 said, if you think about it, they also have the most
8 potential for gain. They are the people who are seeking
9 our help the most. In fact, they were the ones who were
10 making this important decision. I think that was
11 telling.

12 Andy has something to offer.

13 MR. NELSON: I really enjoyed your presentation
14 about the potential for multi-site studies when you are
15 looking at newborns. This capacity is a new capacity.
16 When we look at intervention studies versus database
17 studies and being able to aggregate large sets of data
18 without bias, it is an extremely important societal
19 benefit. We were very cognizant of wanting organizations
20 to participate in that process. Right now there is fear
21 among organizations for collaborating because they worry
22 about any disclosure that those researchers might

1 produce, even if it is just the data-driven pieces.

2 I think we are looking for some supportive
3 guidance from HHS to help organizations that are locally
4 based to more clearly understand and more clearly give
5 permission to contribute to the societal good.

6 DR. CROGHAN: We didn't absolve the
7 researchers, by the way, of their responsibility. Part
8 of this, we also found out in our polling, is that the
9 public does not really understand research.

10 In focus groups, we understood that often
11 people who had participated research did not hear back
12 from the researchers. They didn't know what the results
13 were. We make the recommendation that no matter which
14 course is taken to improve on privacy that in fact
15 researchers and others have the obligation to educate the
16 public about research processes and the results of
17 research.

18 DR. FITZGERALD: I have Gurvaneet next.

19 DR. RANDHAWA: In the discussions of the
20 Committee I don't know to what extent you considered
21 different models of data aggregation from the
22 centralized, deidentified aggregate databases. The other

1 moral would be small federated databases where the data
2 is all identified and controlled locally but there can be
3 distributed queries specific to a research question or
4 project so you don't have to aggregate data in any one
5 centralized place.

6 I wasn't sure if the Committee had gone into
7 the privacy issues for these two models and if one was
8 better than the other one.

9 MR. NELSON: Yes, there is an increasing
10 ability to conduct research through these federated data.

11 In the example of the HMO Research Network, for
12 instance, the identifiable data never leaves the
13 firewalls of those care-providing organizations, but a
14 query might be sent in from the outside and analysis
15 would then be done inside with a large population. Only
16 the aggregated deidentified results then transfer to the
17 researchers outside. That is an increasing capacity, and
18 it is very much encouraging in terms of protection and
19 safety issues.

20 The second is, there are organizations that
21 don't have that capacity because it takes quite a large
22 effort to map and configure data that way. There has to

1 be the ability to be doing both the federated data
2 consolidation approach as well as working with
3 organizations that don't have that capacity.

4 MR. CROSLY: The other thing I would add is
5 that one of the models that we discussed and included in
6 our report was having a certification agent, modeling it
7 somewhat on the Ontario privacy law that has qualified
8 entities who can hold reidentification keys. Certainly
9 you can have that encryption key exist at the data level.
10 You could also have a federated query authority as a
11 trusted agent or an authentication agent that could then
12 do the same thing.

13 I think the model certainly anticipated
14 distributed data sets and having trusted agents or third
15 parties who would in some manner be certificated to
16 enable the research across those data sets.

17 DR. FITZGERALD: Joseph.

18 DR. TELFAIR: Thank you. I appreciate the
19 presentation. I have probably contingency questions.
20 This issue comes up a lot. I appreciate the presentation
21 by Dr. Howell on newborn screening.

22 The one thing that is there as an example of

1 the others is the actual question of follow-up and
2 longitudinality. You talked about maintaining
3 longitudinal databases, but you also talked about working
4 with the public and with vulnerable populations. I think
5 one of the last things was the issue of scientists
6 reporting back to the population itself.

7 Taken as a whole, the implications for that
8 have a lot to do with the willingness to have these long-
9 term databases and the ability to refresh those and go
10 back. For example, you have someone who was picked up on
11 newborn screening but then you had to go back to them at
12 some point. The question really is, you did a lot of
13 work on their sample early on but now you have to go back
14 to them to re consent. Were there any recommendations in
15 a very practical way of how you would really do that?

16 I haven't heard a lot about it. It is a very
17 tough problem. Given the recommendations you already
18 have made, that seems to be something in line with what
19 you have been thinking about. I was just wondering
20 whether anything concrete may have come out of that
21 recommendation-wise. Do you understand what I'm asking?

22 DR. CROGHAN: Let's take a little bit simpler

1 case first, which is an adult who can actually give
2 consent. Here the Committee found a real discrepancy
3 between what is in the Privacy Rule and what is in the
4 Common Rule. People, under the Common Rule, can give
5 consent to future research. Now, there are some
6 boundaries around that, and the Committee did not get
7 into the details about where to draw the line.

8 In the Privacy Rule, you cannot do that. That
9 is one area of harmonization.

10 Now, we did not discuss at all the special
11 issue of children and newborns, where the model is more
12 you can assent children. I don't know what age is the
13 bottom rung there, but that is something that we will
14 kick back to you all as a Committee, and to others, to
15 have that important discussion. I would imagine at some
16 point there would be some talk about the need for
17 consent.

18 DR. HOWELL: Let me make a brief comment. We
19 did not discuss it at all today, but it is an important
20 thing. The National Institutes of Health have just
21 funded a major newborn screening translational research
22 network.

1 The background is that when children are
2 detected with rare conditions, be they in North Dakota or
3 South Carolina, right now they basically are identified
4 and their treatment is begun and then they are out of the
5 system. The plan for this would be to identify and
6 follow these children in a systematic way all over the
7 country so that you would have all of the children with
8 some rare condition. There would be plans to follow
9 them, and there would be protocols.

10 One of the issues that has come up in a big way
11 early in this is of course the data system. Early
12 thoughts would be that the data would be retained locally
13 but there would be an infrastructure, working with caBIG
14 from the Cancer Institute as a model for doing that.

15 Anyway, this would be a very interesting thing.
16 Steve asked if we are going to go back and so forth. We
17 will have the prospective data on these conditions and we
18 will know what happens to them and how they are treated,
19 but the translational research network will be an
20 exciting new program.

21 Again, a child will be detected. The parents
22 will then be asked. They will go back to the child, but

1 the state, of course, always goes back to the affected
2 person and asks, would you like to participate in the
3 program, protocol, et cetera. They will be invited at
4 that time to participate in the follow-up treatment
5 protocol.

6 DR. TELFAIR: That is similar to the multi-site
7 study models from multiple places. My other question may
8 be even more difficult. I was thinking of the whole
9 spectrum for the young person from birth on. They are
10 very young, so of course their consent is given by their
11 parent. Children and adolescents can assent, but they
12 still have to have consent by the parent.

13 The other question is the vulnerable adults,
14 those who cannot sign for themselves. You get a sample
15 from them, and then you try to get a sample 20 years
16 later but the person who signed for them is no longer
17 there, for example. That is an adult-related problem.
18 To me, those are real questions that are being asked.

19 I know you spoke about the European model, but
20 I have looked at a lot of what they have and I didn't see
21 that come up. I'm wondering is that, again, something
22 you would kick back to us or do you actually deal with

1 it?

2 DR. CROGHAN: The Committee drew a distinction,
3 and I think it is an important one, between
4 interventional research and information-based research.
5 Interventional research is the types of things that
6 Rodney may have been referring to, where the research
7 subject actually has something done to them, often in a
8 randomized way, but there is some intervention that
9 occurs. Our way in America of looking at those types of
10 research is in fact consent.

11 The Committee drew a distinction between that
12 and information-based research. If you have a sample
13 about a child and you know something else about them from
14 their administrative healthcare records over time, can a
15 researcher access that information without ever needing
16 to talk to or intervene with the research subject, even
17 when they are an adult.

18 Now, we thought that with the appropriate
19 controls, as Larry outlined, that could happen. We made
20 the recommendation that that could occur within some
21 boundaries.

22 MR. CROSLY: With IRB oversight.

1 DR. CROGHAN: IRB oversight, appropriate
2 security, and all the types of things we have been
3 talking about.

4 DR. FITZGERALD: David.

5 DR. DALE: I really appreciate this discussion.
6 The HIPAA rules are national rules, but the IRBs are
7 locally controlled. Did you take a position on national
8 IRBs, particularly related to rare diseases, where if you
9 do a study you have to do it in multiple places?

10 MR. NELSON: We didn't go into that
11 specifically. We did want to see, and made the
12 recommendation on the Committee's behalf, to harmonize so
13 local sites could have an easier way of interpreting
14 things. Though this multiple-site IRB problem is not
15 going to go away by the recommendations of this report,
16 we think that better harmonization of rules so that local
17 sites can interpret, and developing some templates that
18 IRBs could follow, would be very helpful. Right now they
19 are on their own.

20 MR. CROSLY: We also made a recommendation
21 that, regardless of whether it was the new model of
22 research being pulled out of the rule or whether it is

1 changes to the rule itself, IRBs be given some layer of
2 indemnification protection and liability protection. We
3 saw from the research that came in that there was a
4 vastly different interpretation of the Privacy Rule based
5 on the constituency in the IRB and from one place to
6 another. Those caused significant issues.

7 We tried to resolve that, as Andrew mentioned,
8 by getting better guidance and some best practices that
9 would be eventually blessed or sanctioned by HHS to give
10 them freedom to operate within that sphere. The
11 liability protection we thought was also a very important
12 layer to give them the freedom to make good judgment and
13 rely on their judgment in the circumstances.

14 DR. FITZGERALD: Michael, Sue, any further
15 comment or questions from your end? No? Thank you.

16 One last question, then, for all of you. Going
17 ahead, this Committee is going to continue to look at
18 these issues of informed consent, privacy,
19 discrimination, and all that. We have already touched on
20 some of the areas that you have mentioned that you didn't
21 particularly focus on, like children, newborns, adults
22 that don't give their own consent. Are there any other

1 areas that you would like to see this Committee address
2 from the perspective of the IOM report but also from the
3 perspective of our sister committee? I will just throw
4 it open to you.

5 DR. CROGHAN: The Committee's charge didn't
6 include recommendations about genetics, so I'm now only
7 speaking for myself. I think the issues that were raised
8 here today, particularly with regard to integration of
9 genetic information, how those data are maintained and
10 how they are integrated with other protective health
11 information and made available to the research community,
12 are going to be an important part of any deliberation and
13 something we need to think about.

14 We didn't consider genetics because they are
15 not currently part of the HIPAA Privacy Rule.

16 DR. HOWELL: I think the thing that would be
17 most helpful would be looking at the mechanisms of
18 informed consent. When you have multi-site studies and
19 the whole background that surrounds that as far as
20 harmonization, a central IRB absolving the local IRBs of
21 risk so that they might more readily do that I think is
22 going to be very important. As Sylvia pointed out, even

1 in the State of California, you try to go to multiple
2 IRBs and it just doesn't work. Solving that will be
3 important.

4 I gather that the big issue with a central IRB
5 is the fact that the local IRBs are still holding the
6 bag, so they are really not willing to hear what a group
7 of talking heads in Washington has to say on the issue
8 because they have to deal with things back home. I think
9 solving that and figuring out a way to do that in an
10 ethical and legal way will be very important for genetic
11 studies in general but particularly for newborn
12 screening, where we are, again, looking at 120 million
13 genetic tests a year and not 1,000 BRCA genes.

14 MR. NELSON: One other comment that the
15 Committee did make is on this issue of transparency in
16 the field of genetics, the use of phenotypic and
17 genotypic data together, and the transparency of the
18 discussion on the trust that has to come from the public.
19 We really need to engage the public and figure out a way
20 to engage them in a way that has their support. We need
21 to communicate clearly the intent of what we are doing.
22 We need to come up with a community-supported approach to

1 this privacy issue.

2 I think those discussions are extremely
3 important and [constitute] a new science area where we
4 have tools that are dramatically different than we have
5 had in the past that expose privacy and security issues
6 beyond what we have had to take care of in the past.

7 MR. CROSLY: My final comment is not
8 necessarily a recommendation on an area but some learning
9 that we had in the composition of the IOM Committee. We
10 had privacy advocates, patient advocates, people who
11 suffered from chronic illness, and public and private
12 researchers, and that constituency was incredibly
13 powerful in sifting through the issues and making sure
14 all the voices were heard.

15 I'm sure you are taking those things into
16 consideration as you deliberate on these incredible
17 topics because privacy and ethics, personalized medicine,
18 it is an incredibly important and critical area. I think
19 that we can't go very far unless we really start talking
20 about it.

21 DR. FITZGERALD: Gentlemen, thank you very
22 much. That was wonderfully interesting and informative.

1 I thank you for your participation.

2 [Applause.]

3 **Committee Discussion of Issues and Next Steps Related to**
4 **Informed Consent on Genomic Data Sharing**

5 DR. FITZGERALD: I have my charge from the
6 boss. He wants to know where you want to go next on
7 these issues.

8 As we heard, there are areas that were just
9 mentioned, some of which we have begun to address in some
10 of our earlier reports. Certainly, public engagement has
11 something that we have continually been bringing up,
12 including the large population studies, the
13 pharmacogenomics, and the genetic testing and screening.

14 There is also the question of, how will
15 informed consent be reconceptualized, redescribed, and
16 redefined. That does seem to be an area that is going to
17 be rather neuralgic as we continue to go forward.

18 Would people feel it would be best that we get
19 more information on a particular specific area? Do you
20 feel ready to become a task force focusing on something?
21 Where are people leaning at this point?

22 Just to let you know, Charmaine Royal, who will

1 be coming on the Committee as I'm being voted off the
2 island, has agreed to do anything and everything.

3 DR. TEUTSCH: You know you can never leave.

4 DR. FITZGERALD: You never get to leave, right.

5 DR. TEUTSCH: That is what we need to hear. We
6 have a lot of priority areas, and this was one of the
7 ones that was important. Are there things that we can do
8 now, long-term, short-term?

9 DR. BILLINGS: Maybe I missed it in the
10 discussion, but do we know what the Institute is going to
11 do with their work? Obviously, with all these people
12 with these Georgetown connections, there is a certain
13 institutional bias in the information that we got. I
14 suspect that the other august institutions of law and
15 ethics out there may have slight variances on the model.

16 DR. FITZGERALD: There are others?

17 DR. BILLINGS: Yes, yes, there are. Before I
18 can say what I think should happen, I would like to know
19 a little bit more about what is happening and how broad
20 the range of difference of opinion is.

21 DR. TEUTSCH: Perhaps what is proceeding on the
22 federal side with these issues, too. I don't know if

1 either of you can speak to that.

2 MS. McANDREWS: I certainly can't speak
3 globally on that. I will say that last week the IOM did
4 present the same report to the Secretary's Advisory
5 Committee on Human Research Protections. That entity,
6 SACHRP, has made recommendations on privacy and the
7 intersection of the HIPAA Privacy Rule and research in
8 the past. I suspect that they will be looking at their
9 prior recommendations in light of this new report and
10 will be propounding additional recommendations to the
11 Secretary based on that.

12 Within OCR itself, as was mentioned and as you
13 may otherwise know, we have a fairly full and ambitious
14 regulatory agenda that has been handed to us courtesy of
15 the HITECH Act which will be occupying our time and
16 resources for the next year to 18 months, both in terms
17 of regulatory changes and studies.

18 There is good news and bad news in that. None
19 of the legislative changes in fact go to research at all.
20 It wasn't really touched on in the HITECH Act.

21 In addition to those mandated statutory
22 changes, and I would throw GINA into that mandatory

1 statutory work that we are engaged in, there may be some
2 synergy in certain areas. A study of deidentification is
3 one of the mandated areas that may allow consideration of
4 what that term may mean in a research as well as a
5 healthcare setting. There may be other things in the way
6 of accounting for disclosures, although it is tending in
7 an opposite direction from the recommendations of the
8 IOM. That is broadening the areas for the accounting
9 rather than taking items off the accounting.

10 Authorizations and other things may be areas
11 that we will have an opportunity to work on in
12 conjunction with our statutory mandates.

13 DR. FITZGERALD: Thank you, Sue. David.

14 DR. DALE: Is the full report available?

15 DR. FITZGERALD: Yes, it is.

16 DR. CAROME: The Secretary's Advisory Committee
17 on Human Research Protections, SACHRP, met last week.
18 They received a similar briefing on the IOM report.
19 SACHRP previously made a series of recommendations about
20 the Privacy Rule several years ago that are still
21 undergoing deliberation and consideration by the
22 Department. Those recommendations fairly well align with

1 many of the recommendations, or at least the general
2 framework of the recommendations, that the IOM made.
3 They tend to reinforce one another in terms of the
4 concerns and issues that have been raised.

5 All of the recommendations of SACHRP to date
6 are directed at the Privacy Rule and would require action
7 by OCR, with input and consultation with others in the
8 Department.

9 They mentioned today that they have concerns
10 about the Common Rule. They focus on a lack of harmony
11 between the Common Rule and the Privacy Rule, and that
12 has been obvious to many for years, and a lack of
13 coverage for all research involving human subjects that
14 involves private information. When I pressed them on
15 that, it is still unclear to me, if you didn't have the
16 Privacy Rule and if the Common Rule covered all research,
17 what problems the Common Rule poses to the type of
18 research they are involved in. I'm still unclear on
19 that.

20 They talk about not wanting to have the
21 Department or the government go forward with prescriptive
22 solutions, but by their very nature regulations are

1 prescriptive.

2 The current regulations we believe offer a lot
3 of flexibility in this arena. There is a lot of research
4 activity that isn't covered by the regulations either
5 because the way it is done doesn't involve human subjects
6 or the way it is done is exempt. For research that is
7 not exempt and is covered, there are procedures for
8 waiving informed consent, which have always existed. I
9 believe those allow a lot of this research to go forward
10 if the waiver is appropriate.

11 With regard to the provisions on privacy, there
12 is one basic provision, and that is that when the IRB
13 reviews and approves research it must ensure that there
14 are appropriate provisions to protect the privacy of the
15 data collected. That is a fairly simple provision which
16 gives the IRB and investigators great discretion to
17 design appropriate privacy protections. That can be
18 along the lines of the privacy protections the IOM talks
19 about, such as stronger protection and control and
20 restrictions over release, but you can do all that now
21 within the framework of the current regulation.

22 DR. FITZGERALD: Gervaneet.

1 DR. RANDHAWA: Since we are at the information-
2 gathering stage, one community we haven't heard about is
3 the health information technology community. I'm sure
4 they have wrestled with some of these issues from their
5 perspective. It may be useful to engage with the
6 successor of AHIC or somebody similar to give you some
7 information on what is going on there.

8 DR. TEUTSCH: What I'm hearing is there is
9 already some action being taken to flesh these things
10 out. Just as a reminder, we had this session because we
11 knew this report was going to be issued. That is why we
12 wanted to defer the decision. It sounds like a fair bit
13 is going on. There are a few loose ends but not major
14 ones. There are some that relate specifically to the use
15 of genetic information and privacy, as well as some data-
16 sharing issues with the electronic medical records and
17 information sharing there.

18 The question then becomes, do we monitor all of
19 this at the moment or do we form a little workgroup to
20 sort out whether there is something here that we can
21 actually begin to do that will help inform this
22 discussion? That is what I would like to hear.

1 DR. WILLIAMS: Joe.

2 DR. TELFAIR: Thank you. I appreciate the
3 information because it narrows the gap a little bit. I
4 guess my outstanding question in terms of a direction to
5 go is, what can we make in terms of a contribution. I
6 would recommend looking at the question related to the
7 last item they discussed, which is vulnerable
8 populations. How does this work within those groups.

9 I think much of what is being discussed is
10 general population issues, but one of the things we do
11 have a charge for is also looking at whether there is
12 discrimination in working with vulnerable populations and
13 then the permutations that have to do with that.

14 I don't know if there is a grant area around
15 the whole thing. It seemed to me that we can focus on
16 this one area. Maybe we can look at some of the other
17 ones, but this seems to be a reasonable one that we can
18 put on the table given that so much else is being
19 covered. That is just a recommendation.

20 DR. BILLINGS: In response to your comments,
21 Steve, I think it was fortuitous that you had Rod Howell
22 there, too. The point about what can be done with the

1 Guthrie cards, that issue has been out there for a long
2 time. I can remember an article by Phil Riley about this
3 15 or 20 years ago. That seems to me to be a practical
4 genetics issue for this Committee, in conjunction with
5 the activities that Rod is leading up, however they might
6 proceed.

7 It is an important issue. We were talking
8 about all these new technologies that can be applied.
9 You can sequence the whole genome off these cards, maybe.
10 What would that look like. What would the opt-in/opt-
11 out rules look like for that, if any. How would it be
12 used. As you said, it is a really nice non-biased
13 population as well because it is broad. There are some
14 positives and negatives to it. It seems to me that is a
15 really interesting, specific issue which has been out
16 there. It doesn't seem to be answered in policy yet, so
17 we may actually have something useful to say.

18 DR. FITZGERALD: The question there would be
19 how much of that is going to be addressed by that NIH
20 grant that went out for the translational work in the
21 newborn screening. I don't know that. We could ask Rod
22 or we could ask ACMG.

1 The other would be taking that and saying, in a
2 sense, that too is vulnerable population. Getting back
3 to what Joe just said, depending on how we define or
4 delineate vulnerability, that could be an issue that
5 would be important to look at. That does raise in
6 particularly emphatic ways some of these issues that,
7 when you look at it more generically, don't necessarily
8 get highlighted as strongly. I would say that would be
9 something that would be a possibility.

10 DR. WILLIAMS: This goes off of what Gurveet
11 mentioned about the AHIC successor. The other thing is
12 that there was just an announcement that came out about
13 another Secretary's Advisory Committee on Health
14 Information Technology that is going to report to the
15 Secretary of HHS. Now we have, by my count, four
16 Secretary's advisory committees that have some piece of
17 this pie.

18 It seems to me that one tangible suggestion
19 would be to create a formal liaison group between the
20 different committees that can assess where there is
21 overlap and then perhaps in some ways divvy up the work
22 so we don't all end up doing the same thing. It might be

1 good to have that group have the responsibility to say we
2 are going to charge SACGHS with this and the Newborn
3 group with this and Human Subjects with this. It might
4 be a possible way to move forward.

5 DR. TEUTSCH: I agree. The Guthrie test issue
6 and what we do with it longer term sounds like something
7 that your Committee, Rod, is grappling with and falls
8 naturally in that sphere. If you had something that
9 could inform that, I think it would be good for us to
10 know.

11 Would you have a concrete recommendation for
12 next steps?

13 DR. FITZGERALD: I think the idea of
14 coordinating with the other advisory committees is key.
15 I think that is going to be important. I don't know if
16 the other committees have the same charge as we do with
17 regard to a group like vulnerable populations. We are
18 genetics, health, and society, and that would seemingly
19 be within our purview. Depending upon how that gets
20 delineated, maybe that is the next step. If there is
21 going to be some information gathering in this area, the
22 step between now and the next would be how are you going

1 to delineate vulnerability and what is that going to
2 mean.

3 As was mentioned here, certainly you have
4 populations that are vulnerable because of particular
5 medical conditions they may have. You have populations
6 that are vulnerable because of historical or
7 socioeconomic situations, like Native Americans or the
8 poor. It is going to be important to figure out first
9 how to delineate that and then see where you want to run
10 with it.

11 DR. TEUTSCH: We also have the whole topic of
12 vulnerable populations under our population health
13 component. The issue here is that of privacy, research,
14 and consent for those populations, which is a discrete
15 subset. The question is, do we look at that more broadly
16 in some other way.

17 DR. BILLINGS: I was just going to point out
18 that the Common Rule has provisions for vulnerable
19 populations as well. It is consistent in that sense as
20 well.

21 DR. WILLIAMS: In terms of trying to make our
22 work efficient and not to necessarily transition us into

1 the next topic, one of the groups under education and
2 training has a focus on educating the public. I think we
3 heard loud and clear from all the folks up here that we
4 need to be engaged with the public and we need to have
5 some role there.

6 It seems to me that there could potentially be
7 some overlap with what we are going to hear about from
8 Barb in a couple of minutes regarding what that task
9 force is up to and how we could add in perhaps a piece of
10 that and work together.

11 DR. TEUTSCH: I'm fine with that. I also think
12 that I'm hearing a lot of concrete suggestions but
13 nothing I think we are ready to quite talk about in a
14 major way. We may ask you, Kevin, and maybe a couple of
15 other folks, like Charmaine, to come back to us in June
16 with something more concrete. We can learn about whether
17 there is interest in having this consortium of the other
18 agencies or the other committees. I'm not sure we are
19 ready to proceed with those at the moment.

20 DR. FITZGERALD: I would certainly be happy to
21 come back tomorrow, but June, I don't know.

22 DR. TEUTSCH: You have June and you have

1 October.

2 DR. FITZGERALD: I would be happy to work with
3 Charmaine.

4 DR. TEUTSCH: Then we can explore some of those
5 other issues.

6 DR. FROSST: I would like to follow up with a
7 point relevant to what he said, which is that I have been
8 mulling over since you said it the idea of these other
9 Secretary's advisory committees and the vast amount of
10 effort it takes to put together one of the reports that
11 we do. I wonder if perhaps the other committees don't
12 feel the same way about the herculean task that they take
13 on.

14 There may be a way to merge a few of the
15 committees together on a topic that is of relevance to
16 more than one. I think to hit all four would probably be
17 overly optimistic, but fantastic if we could. So this
18 committee takes this view of it, and this view of it, and
19 this view of it, and we come together at the end with
20 something that really benefits the Secretary or whoever
21 it is that is really looking at our products.

22 I have to say that in terms of process of doing

1 this, I'm not sure exactly what the best way is to do it.

2 DR. TEUTSCH: We can certainly put feelers out
3 and have discussions with them before we actually
4 recommend doing something to see what the receptivity is
5 to that. Yes, David.

6 DR. DALE: I think this is a really important
7 issue. I'm an active researcher. Almost every day this
8 issue is in the way of the research, particularly for
9 multi-institutional studies.

10 In my work, I have a compartment of isolated
11 computers for clinical data and isolated computers for
12 genetic data, and I have difficulty in linking them. I
13 have another filing cabinet full of paper records which I
14 can't look at between the people working in the space.
15 This is multiplied by the multiple institutions. We have
16 trouble cooperating with Canada because of our HIPAA
17 regulations. It is just a mess.

18 I think it is a very constructive thing they
19 have done. I don't quite know what to do because I
20 haven't read the report yet, but I think that at our next
21 meeting we should talk about this substantially.

22 DR. TEUTSCH: I do think we need to have some

1 of these discussions offline. Kevin, if we can wrap you
2 at least into some of that with a twist. Charmaine is
3 obviously going to be interested in some of that as well.
4 We need to get her up to speed. People need to have a
5 chance to review this report and tie it to either work of
6 these other committees, the vulnerable populations, and
7 some of the data sharing issues.

8 I think there is plenty on the table here. It
9 is just what we can bite off that is not going to add to
10 the noise and be constructive.

11 We are going to move on, then. Barbara, who
12 has been leading the Education Task Force, is going to
13 give us an update. I understand we have some data.

14 DR. McGRATH: Yes, we do.

15 **GENETICS EDUCATION AND TRAINING TASK FORCE**

16 **Update on Data Gathering**

17 **Barbara Burns McGrath, R.N., Ph.D.**

18 [PowerPoint presentation.]

19 DR. McGRATH: What I'm going to do today is
20 give an update on the Task Force and provide some
21 preliminary data. I actually thought that we were going
22 to win the wow factor with this because we have a little

1 bit of data. I know in a lot of these meetings we have
2 no data, just ideas. This afternoon there has been so
3 much data coming your way that it is not such a big deal
4 anymore.

5 The purpose of the session is to update you.
6 We are about halfway through on this task force, I would
7 say. We are finishing our data gathering, so it is a
8 good time to see if anybody in the room has suggestions
9 for whether you think we are heading in the right
10 direction. We are not going to completely change
11 direction, but we welcome suggestions for new areas to
12 look at and emphasizes.

13 A little bit of background, particularly for
14 the new members. This issue of genetics education and
15 training has been high on the priority list of SACGHS
16 since its inception. In 2004, there was a similar task
17 force that was formed. They had a roundtable. Rather
18 than a large report, they got away with just a letter and
19 a series of recommendations to the Secretary of HHS.

20 We looked at those again around 2007 and, as a
21 group, decided that it was time to look at it again.
22 Things had changed enough. We decided that the issues

1 merited forming another task force to look at this. So
2 we have been around for a couple of years.

3 In the meantime, we had a Cathy/Kathy switch.
4 Cathy Fomous was the staff person initially, and Kathy
5 Camp now is the staff person assigned to this, so there
6 have been some changes.

7 The Committee talked about what should the
8 scope of this task force be. Like a lot of things with
9 SACGHS, it is really a hydra. There are so many
10 different ways you could look at genetics education and
11 training.

12 We talked about K-12 education. We talked
13 about emerging groups that haven't been addressed who
14 have needs, like laboratorians, hospital administrators,
15 or speech pathologists. There is no end to the
16 boundaries of where you could think about who might
17 benefit from greater genetics education and training, if
18 that is your ilk.

19 We did decide to limit our scope to three
20 groups. We were guided by the principle of point of
21 care, trying to think of limiting it along those lines.
22 We decided to focus on healthcare professionals and

1 practitioners and their needs, public health providers,
2 and then consumers and patients, including the public.

3 Underlying all of this is a hope that the
4 results of this report will be recommendations to the
5 Secretary of HHS and that our recommendations will be
6 measurable and actionable. We are trying to focus on
7 that angle. They are actually under the purview of HHS,
8 trying to keep a focus on what is the role of the federal
9 government in this area and trying to avoid getting too
10 broad.

11 We are hoping to have a forward-looking
12 document, not just looking at education tools that are in
13 place now or education needs that are current but also
14 look forward a little bit to what might be coming down
15 the pike. Those are our hopes.

16 Those three scope areas were formed into
17 workgroups, and I'm going to be reporting the data from
18 those workgroups on their behalf. I think there are
19 representatives of each workgroup still in the room, so
20 we will lean on them.

21 The first one is the Healthcare Professionals
22 Group, led by Greg Feero. He has a nice group of people

1 there that he works with. They are approaching their
2 goal of trying to assess the training needs of health
3 professionals by using a survey-based design. They are
4 using two surveys. The first one is looking at
5 professional organizations. They have done some survey
6 on that. The next one is to use the same survey that was
7 used in 2004 and try to compare some data with that. I
8 will talk about that in a second.

9 Before I go further on that, all of the groups
10 are doing review of literature of the areas that they are
11 dealing with, with the goal of not to replicate existing
12 efforts. We are trying to move forward rather than
13 replicate what others are doing.

14 We have some of the results of those surveys.
15 The first one, which is the one with professional
16 organizations, identified 57 in those kinds of
17 categories. Twenty-nine were general professional -- and
18 these are professional organizations like AMA or American
19 Academy of Family Physicians -- some of the genetics
20 specialty ones, ones devoted to professional education
21 with an eye toward certification, and then looking at
22 three advisory committees.

1 The return rate today is 58 percent, but one
2 survey came in this morning. We expect that there might
3 be more coming in, so that response rate of 58 percent is
4 likely to go up. Not surprisingly, from genetics
5 specialty groups there was 100 percent response. The
6 general professional ones were pretty good. The
7 educational committees had a pretty low response rate. I
8 won't go into why.

9 Preliminary data. Of those groups that you
10 saw, half of them actually have something dedicated to
11 genetics, which means half don't.

12 The question was, what do you identify as your
13 organizational barriers to providing education to your
14 constituents, and those are the ones that they
15 identified. [Indicates slide.]

16 This slide shows in broad relief the ones that
17 stand out as competing priorities. These are priorities
18 that the organizations have for providing it. You can
19 imagine what some of those might be.

20 One thought we have is that if there was
21 increased clinical utility demonstrated for genetics and
22 genetics testing that the numbers of competing priorities

1 might go down a little bit and it would rise as a
2 priority issue. There are lots of other reasons to
3 explain that one.

4 The second survey is the one looking at federal
5 activities. Again, we are trying to compare has anything
6 changed since the report of 2004. This is a smaller
7 sample, for many reasons. One would be able to compare
8 five of the agencies to that. The data analysis is just
9 underway on that. We don't have a lot to say on that,
10 but again, we are trying to see if there is any way to
11 measure change over time with this.

12 Their next steps are to, of course, encourage
13 the return of samples and do that comparative analysis
14 and the complete data analysis. There are other reports
15 coming out looking at genetics education and training
16 from federal groups. We want to synthesize those reports
17 so that they fit together nicely rather than duplicating
18 or being really disparate. There are efforts to talk
19 about synthesis.

20 Another goal is to have their report articulate
21 personalized medicine initiatives. We want to ensure
22 that some of the things that come out with that make

1 sense in terms of this report. That is that group.

2 The second group is the Public Health Providers
3 Group, led by Joseph Telfair and his group of nice
4 people. Their goals are similar. Their approach is to
5 start with the notion of competencies. They have had the
6 herculean task of gathering public health competencies
7 around genetics and genomics from the various
8 organizations. I think they started off with something
9 like 100. They are working to whittle those down to a
10 concrete set of 12 that at this point seem to be the core
11 ones.

12 That set of 12 will inform the development of a
13 survey to then be administered to the right people to see
14 if they are achieving the competencies. If so, we want
15 to know where they get the education. If not, we want to
16 know where they wish they would.

17 These are examples of the kind of competencies
18 they are talking about. These are four of the twelve --
19 I will just let you read them for a second -- looking at
20 up-to-date scientific knowledge and behavior,
21 opportunities to integrate into healthcare practice, of
22 course the ELSI issues, and then how to implement

1 research. It clearly covers the whole public health
2 arena.

3 That part is finished. The next part will be
4 developing the survey. It will be an online survey to be
5 distributed. They are at that point, so the survey
6 should go out pretty soon. Then there will be data
7 analysis of that.

8 The last group is the Consumer and Patient
9 Workgroup, led by Vince Bonham, who is not here right
10 now. He is in Africa. Sarah Harding will be here
11 tomorrow, and she is filling in for him.

12 This is their group. We are proud to add a new
13 member. Gwen Darien has agreed to join us, so that will
14 be an excellent group of nice people.

15 Their goal is to provide recommendations that
16 address the needs of consumers and patients. Their
17 approach is to start with qualitative interviews. They
18 conducted five paired semi-structured interviews with
19 professionals in the following areas to get the landscape
20 of identified areas of genetic needs for patients and
21 consumers.

22 The data is just being analyzed, but some early

1 thoughts are that, not surprisingly, consumers get
2 information from providers and the media. Interestingly,
3 they feel government does have a role to play in this in
4 terms of guidance.

5 Those interviewed people suggested that the
6 need that they see for consumers coming up the pike is
7 greater understanding of multiple risk factors and how
8 genetics plays with that. Obviously, that is important,
9 along with the role of the environment.

10 Other needs are for some discernment about the
11 expertise among healthcare providers, who you go to for
12 what sorts of issues, and some helpful tools. We talked
13 about that with DTC this afternoon. We need some tools
14 to evaluate this.

15 Some of the barriers that those professionals
16 and advocacy groups identified for consumers were just
17 general poor health literacy, a notion of genetic
18 determinism or fatalism -- why learn about this when
19 there is nothing you can do about it? -- and then fear of
20 discrimination continuing even past the GINA era.

21 What they will do with those themes is to turn
22 this into a survey, which is happening right now, and

1 then to distribute these to larger community-based
2 organizations. The hope is for an N of about 100 of
3 these, so a pretty good size for this kind of project.

4 Our group met this morning before orientation
5 for this meeting, and one thing we talked about is the
6 challenges of addressing the issues identified by the
7 general public. So far, we are focusing on consumers and
8 patients, meaning people that have some reason to be
9 interested in genetics. We know the general public
10 perhaps has a different orientation to this. The
11 challenge of who is the general public and how to access
12 attitudes from them, we don't have an answer to. We are
13 going to talk about that further. There is a desire to
14 see that we integrate that with this report.

15 I'm hearing some more about integrating some
16 things about informed consent and research with genetics.
17 We will talk about that.

18 Here is a scary slide. This is the timeline.
19 We are working now on collecting the data and writing the
20 background. That will go on until summer.

21 Our next step will be to develop some draft
22 initial recommendations that we will present to the whole

1 Committee at the June meeting. These will be
2 recommendations based on analysis of the data I just
3 presented. In that meeting we will come to some
4 agreement about the draft recommendations. That will go
5 into a draft of the report, which will be written over
6 the summer and sent to you at the end of summer for your
7 end-of-summer reading. Get your novels done early
8 because you will get this report at the end of the
9 summer.

10 We will present that draft report in the
11 October meeting, and then it will go out for public
12 comment over the holiday in November. The final report
13 is anticipated to be ready for publication and submission
14 to the Secretary next year, probably in mid 2010.

15 We are pretty much on track, but I think the
16 heavy lifting is yet to come in terms of the writing.

17 I would like to stop talking and see if people
18 think from that brief review that we are on the right
19 track. Are there things you would like to add or
20 minimize? I will very much refer to the rest of the
21 people on the workgroups because there is definitely a
22 shared governance committee.

1 hear a little bit more from our colleagues. We will
2 spend most of the day talking about the implications of
3 genetics and health reform, particularly from the payers'
4 perspective.

5 [Whereupon, at 5:13 p.m., the meeting recessed
6 to reconvene the following day.]

7 + + +

CERTIFICATION

This is to certify that the attached proceedings

**BEFORE THE: 18th Meeting of the Secretary's Advisory
Committee on Genetics, Health, and Society
(SACGHS)**

HELD: March 12-13, 2009

were convened as herein appears, and that this is the official transcript thereof for the file of the Department or Commission.

SONIA GONZALEZ, Court Reporter