

SECRETARY'S ADVISORY COMMITTEE  
ON GENETICS, HEALTH AND SOCIETY

Twenty-First Meeting

February 5, 2010

Washington, D.C.

EBERLIN REPORTING SERVICE  
576 Hooker Drive  
Gettysburg, Pennsylvania 17325  
(717) 334-8200

## I N D E X

Opening Remarks Dr. Teutsch, SACGHS Chair	3
<b><u>Gene Patents and Licensing Practices</u></b>	
Overview of Revised SACGHS Report <i>Gene Patents and Licensing Practices and Their Impact on Patient Access to Genetic Tests</i> Dr. Teutsch SACGHS Chair	4
Committee Discussion	7
Public Comments	12
Goodbye Awards	20
<b><u>Updates from Federal Agencies</u></b>	
ACHDNC Efforts to Develop National Policy Recommendations for the Retention and Use of Residual Dried Blood Spot Specimens after Newborn Screening and Proposal for a joint ACHDNC-SACGHS Task Force on Carrier Screening R. Rodney Howell, M.D. Chair, ACHDNS	24
Office of the National Coordinator for Health Information Technology David Hunt. M.D. Office of Health Information Technology Adoption, Office of The National Coordinator for Health Information Technology	53
Concluding Remarks Dr. Teutsch, SACGHS Chair	77

## P R O C E E D I N G S

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24**OPEN REMARKS**

CHAIRMAN TEUTSCH: Good morning, everyone.

Yesterday we had a productive day and we're starting again early this morning. Hopefully, we will spare all of you more time in Washington than you bargained for and get everybody out safe and sound before too late today. So thanks, everyone for that.

We have several agenda items that we do need to cover this morning. We'll start with the gene patents. We'll have some public testimony and then we have a couple of important presentations from our companion committee and from the Office of the National Coordinator of Health Information Technology.

So, a bit to do.

I think, Rochelle, are you on the phone?

MS. DREYFUSS: Yes, I am.

CHAIRMAN TEUTSCH: And Mara I heard earlier. So I think we have a good quorum and we'll get going.

1

2

**OVERVIEW OF REVISED SACGHS REPORT GENE PATENTS AND**

3

**LICENCING PRACTICES AND THEIR IMPACT ON PATIENT**

4

**ACCESS TO GENETIC TESTS**

5

6

7

8

9

CHAIRMAN TEUTSCH: So we'll begin with a discussion of the gene patents and licensing reports. This was sent out to the committee in January so I hope that all of you have had a chance to review it.

10

11

12

13

14

15

Before we get into that I did want to let all of you know that we did have an opportunity to brief the Office of the Secretary, the U.S. Patent and Trademark Office, and the Office of Science and Technology Policy on the conclusions and recommendations on the revised report.

16

17

18

19

20

21

22

23

24

At our last meeting in October we voted on the recommendations individually and then collectively with a collective vote of 12 to one in favor. There was one abstention. We were advised to form a small subcommittee to work on the report to take care of a number of important issues. One of—and I want to go over those with you now because those represent the major changes that were in the report.

25

The subcommittee itself was composed

1 myself, Jim Evans, Gwen Darien, Rochelle, Sheila and  
2 Paul. I think I got everybody, right? That's the  
3 group.

4           And this group did an incredible amount of  
5 work since the October meeting. You will see that  
6 the report was substantially revised and a dissent  
7 was incorporated since we could not get to unanimity  
8 on all the issues.

9           But the major things that we were asked to  
10 do were to strengthen the rationale and conclusions.

11 Paul had advised us that somehow we weren't—we had  
12 a lot of material in there but we had not pulled it  
13 all together as strongly and coherently as we did,  
14 so you'll see that the conclusions and rationale  
15 that precede the recommendation has been added.

16           Paul Wise advised us that the timing of  
17 the report was not as tight and coherent as it  
18 should be with our main agenda item to enhance  
19 patient access and the quality of tests that are  
20 available. So thanks to Paul W., who undertook the  
21 task of making sure that we got that framed  
22 properly, that was then done.

23           The body of the report was changed  
24 substantially, too. At the October meeting and  
25 really throughout the process we knew that there

1 were substantial differences of opinion, and that we  
2 needed to incorporate as many of those perspectives  
3 as possible in the report, and so this report was  
4 substantially revised to do that. And I have got to  
5 say that that was a product of the subcommittee but  
6 the subcommittee could not have done this without  
7 the unbelievable writing, re-writing and re-writing  
8 again of Darren Greninger and the staff.

9           So the report that you all have had a  
10 chance to review is substantially changed and, I  
11 think personally, substantially better than the  
12 report that you saw in October.

13           So that's where we are.

14           I must say that although there is a  
15 dissent here that people who worked on that dissent  
16 did us all a tremendous service because it helped  
17 sharpen the points that needed to be made though, as  
18 I said, we could not bridge all of the differences,  
19 and hence the dissent is there. I think we should  
20 recognize that without that prompting and prodding  
21 and pushing we would not have the report that we  
22 have today.

23           So I want to express thanks to the  
24 dissenters, particularly Sheila and Paul, but I also  
25 want to acknowledge the incredible work that the

1 other members of the subcommittee, particularly  
2 Gwen, Jim and Rochelle, did because I cannot tell  
3 you the number of calls, emails, nightmares that we  
4 have had over the last couple or three months to get  
5 all of this together.

6 So, the report is complete. It's here.

7 Our task this morning is to move it  
8 forward to the Secretary.

9 **COMMITTEE DISCUSSION**

10 CHAIRMAN TEUTSCH: With that, I will open it up for  
11 comments or motions on the draft report.

12 MS. SHEILA WALCOFF: Steve?

13 CHAIRMAN TEUTSCH: Yes, Sheila?

14 I just wanted to point out that you are  
15 the only one that I can tell that has a chocolate  
16 heart in front of you this morning.

17 (Laughter.)

18 And I'm wondering who came in early to put  
19 it there for all of your dedication and hard work on  
20 this because it certainly wasn't lost on me and I  
21 think on the rest of the folks that worked on this  
22 for years and years and years before I got here and  
23 then certainly in the last few months, and I just  
24 wanted to thank my colleagues on the committee for  
25 doing such a great job and working so hard because

1 it was an interesting process but I think one that  
2 it's a better place now and I'm the only commenter,  
3 and you can eat your chocolate.

4 I will move to close the report and move  
5 it forward.

6 CHAIRMAN TEUTSCH: All right.

7 MS. ASPINALL: This is Mara and I'm going  
8 to shorten this. While I was involved in the second  
9 part of the report—the second part of the report  
10 more intensively than the first part of the report  
11 and the initial piece. I believe the process was  
12 one that we came to was appropriate, effective, and  
13 we should move on to more important—the additional  
14 new work from the committee that will be important  
15 in the future, and look to have this as now a  
16 representative report of the majority of the  
17 committee and to have the dissenting opinion.

18 I am very much appropriately really want  
19 to thank Steve and Jim and Sheila and Paul, and  
20 everyone for the opportunity to have in their  
21 representation of the other perspective but I think  
22 the process itself was one that was critical to  
23 acknowledge.

24 DR. EVANS: I just want to second that in  
25 the—from the atmosphere of this love fest here—

1

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

I want to second what everybody has just said. I think that the--while difficult at times--the dissent and the emergence of some degree of controversy really did sharpen all of our thinking about this. And I think it shows how good the process can work; how well it can work; and I also do want to second what Sheila said and really give an incredible thanks to Steve who remained incredibly calm during all of this and was able to keep everybody's passions channeled in a productive way. So thank you, Steve.

And I want to thank Darren who was unbelievable.

CHAIRMAN TEUTSCH: Thank you, all of you. It was a group process for sure.

So we have a motion on the table and that is a motion to move the--basically to approve the report as it is so we can move forward to the Secretary. Is there any further discussion on that?

DR. : There will be more chocolate hearts at the end for everybody else.

(Laughter.)

CHAIRMAN TEUTSCH: There will be more than chocolate hearts.

1 MS. WALCOFF: I didn't know-

2 (Simultaneous discussion.)

3 MS ASPINALL: I was just thinking that  
4 he's the only one who didn't eat it yesterday.

5 (Laughter.)

6 MS WALCOFF: I ate yours, Mara.

7 MS ASPINALL: Okay.

8 (Laughter.)

9 CHAIRMAN TEUTSCH: All right.

10 Hearing no one else, all those in favor of  
11 moving the report forward, please signify by raising  
12 your hand.

13 (A show of hands.)

14 MS. ASPINALL: Aye.

15 CHAIRMAN TEUTSCH: That's Mara.

16 How about you, Rochelle?

17 MS. DREYFUSS: Aye.

18 CHAIRMAN TEUTSCH: I think we have a  
19 unanimous vote of all of those who are here. So we  
20 will-

21 --approve the report to move forward and I  
22 again want to express my gratitude. This has been a  
23 long process. I think we've learned an incredible  
24 amount about the patents and licensing process, more  
25 than I ever imagined I would ever learn.

1 DR.EVANS: Or wanted to know really.

2 CHAIRMAN TEUTSCH: And I really want to  
3 thank the task force for all of its work again and  
4 for all of those of you who have spent an enormous  
5 amount of time and energy and really high level  
6 thinking to bring this to completion.

7 So thanks again to everyone.

8 UNKNOWN: Thank you.

9 CHAIRMAN TEUTSCH: All right. Terrific.  
10 I am going to move to some public  
11 comments.

12 Oh, let me just say the process from here  
13 on the report. What happens now is that staff will  
14 do a copy edit without any substantive changes.  
15 Sarah is going to—because there has been a fair bit—  
16 a lot of interest in this report, Sarah is going to  
17 see whether we can post it on our website in advance  
18 of finalization but if any of you have any  
19 additional edits, not substantive comments but  
20 edits, so things that you need to wand in there,  
21 please get them to Sarah by February 10<sup>th</sup>.

22 So let's move then to the public comment  
23 and we do this at each meeting. I'm delighted we  
24 can do it this morning. And we have two.

25 MS. CARR: One.

1                   CHAIRMAN TEUTSCH: We have one and  
2 possibly a second person who expressed interest in  
3 speaking to us.

4                   The first individual is Ashley Stephens  
5 with the Association of University Technology  
6 Managers.

7                   Dr. Stephens?

8                   Behind me, okay. Please, welcome.

9                   **PUBLIC COMMENTS**

10                  DR. STEPHENS: Thank you, members of the  
11 SACGHS, for providing this time for public comment.

12                  I am Ashley Stevens and today I represent  
13 the 3,500 members of AUTM, the Association of  
14 University Technology Managers, as their president-  
15 elect.

16                  I am also executive director of the Office  
17 of Technology Transfer at Boston University. Before  
18 entering the technology transfer profession I was a  
19 cofounder of GenMap, the first company founded  
20 specifically to work in genomics. I initiated the  
21 discussions with Eli Lilly that led to Lilly funding  
22 the cloning of the BRCA1 gene and Myriad Genetics  
23 spun off of my company to perform that work.

24                  Then while I was at the Dana Farber Cancer  
25 Institute immediately afterwards, I managed the

1 HMPCC genes cloned by Dana Farber, Yale, the  
2 University of Vermont and the Oregon Health and  
3 Sciences University. I established the nonexclusive  
4 licensing program for these genes.

5 So I have been on both sides of this  
6 issue.

7 AUTM members manage and license  
8 innovations resulting from academic and nonprofit  
9 research. We make these innovations available to  
10 the public through commercial development and we are  
11 strongly committed to the advancement of science and  
12 ensuring that public funded innovations benefit the  
13 public.

14 I also remind the committee hat AUTM and  
15 some of its individual members submitted comments to  
16 SACGHS report during the public comment period last  
17 year and we continue to stand by these comments.

18 I would like to reiterate our appreciation  
19 for the great deal of research that accompanied the  
20 committee's report. The case studies provided were  
21 excellent and are a valuable addition to previous  
22 studies by the National Academy of Sciences, the  
23 Organization for Economic Cooperation and  
24 Development, and others that are cited in it.

25 Having been so intimately involved in all

1 aspects of the committee's first case study, the  
2 test for breast and colon cancer, I can personally  
3 attest to its accuracy. However, as scientists, we  
4 find it alarming however as scientists we find it  
5 alarming when there is no connection between these  
6 excellent research results and the policy options  
7 offered in the report. The policy options address  
8 the potential problems that the committee's studies  
9 and studies by others show are no longer issues.

10 With regard to the recommendations made at  
11 this committee's October 2009 meeting I'll spend my  
12 remaining time on one issue in particular. Our  
13 primary concern lies with supporting the creation of  
14 exemptions from infringement liability.

15 Intellectual property protection has been  
16 a crucial element of American innovation since the  
17 drafting of the constitution in which the rights to  
18 both patents and copyrights are enshrined.

19 Today corporations are motivated to invest  
20 in nascent technologies because novel technologies  
21 are protected.

22 When corporation license technologies from  
23 universities, technologies that are normally truly  
24 nascent, they make significant investments in  
25 product development and their clinical trials, and

1 often get close to final production, only to have  
2 the product fail. Time and again corporations will  
3 take and suffer this risk.

4           If we weaken the protection for novel  
5 technologies we also weaken the potential for  
6 commercial development of those technologies. This  
7 will result in significant delays and fewer products  
8 reaching the public. Fewer jobs will be created in  
9 the companies supplying these products.

10           The data in the reports I listed  
11 demonstrate the success our universities have had in  
12 partnering with corporations to get innovations to  
13 market. Remember, neither universities nor  
14 scientists commercialize their research, companies  
15 do. Whether this is through established companies  
16 or start up companies especially formed to develop a  
17 new technology, protection from infringement is  
18 vital to justifying the investment risk involved in  
19 developing new technologies.

20           Without this protection, companies can't  
21 and won't take that risk. The United States cannot  
22 afford to take this risk either. Without strong  
23 patent protection, jobs won't be created at existing  
24 companies and new start up companies won't be formed  
25 to commercialize these technologies.

1           This recommendation would decrease the  
2 amount of taxpayer supported science that reaches  
3 the public. Since the goal of this committee is to  
4 improve, not impede, the delivery science to the  
5 public, we ask that you consider the unintended  
6 consequences of this recommendation. Licensees will  
7 evaporate and university technologies will again sit  
8 on the shelf much as they did the pre-Bayh-Dole era  
9 when academic technologies were only licensed  
10 nonexclusively.

11           The last time a proposal was made to amend  
12 patent laws as they apply to human genes, the  
13 infamous 200-word statement issued by President  
14 Clinton and Prime Minister Blair on March the 10<sup>th</sup>,  
15 2010, as the successful conclusion of the genome  
16 initiative was in sight, it started a secular  
17 decline in the biotechnology industry's capital  
18 markets that has not been reversed to this day,  
19 despite their remarks being retracted almost  
20 immediately.

21           AUTM supports continued research on the  
22 impact of gene patents and an advisory board on the  
23 health impact of gene patenting and licensing  
24 practices. AUTM remains committed to partnering  
25 with the American College of Medical Genetics and

1 the Association of American Medical Colleges to  
2 develop successful practices that reflect our  
3 collective learnings from the 20 years of the  
4 genetics revolution.

5           AUTM would also be pleased to participate  
6 in research efforts and any advisory boards created  
7 or deemed necessary by the Secretary.

8           Thank you for your time.

9           CHAIRMAN TEUTSCH: Thank you, Dr.  
10 Stephens. We really appreciate AUTM's input. I  
11 know this has been an area of a lot of controversy  
12 but we do very much appreciate the input of AUTM and  
13 various members of your organization.

14           DR. STEPHENS: Thank you.

15           CHAIRMAN TEUTSCH: Thank you.

16           Dr. Trampage, Albert Trampage is the  
17 other name I had.

18           Is there anyone else who wanted to make a  
19 public—yes, ma'am?

20           DR. CAPESI: My name is Christina Capesi  
21 from Duke University, Center for Genome Ethics, Law  
22 and Policy. We worked on the case studies.

23           I would like to say this morning that  
24 point two of the nine points, the Nuffield Council,  
25 the OECD guidelines and the NIH best practices all

1 address diagnostic licensing. The nine points are  
2 most explicit and precise.

3 It notes that exclusives should be  
4 reserved for when they promote getting a test  
5 available.

6 In our case studies there were seven  
7 clinical conditions in which exclusive or  
8 restrictive licensing came up as a problem, HFE,  
9 APOE, BRCA, STA, Canavan, Long QT and hearing loss.

10 It was not reported as a problem for colon cancer,  
11 cystic fibrosis or Tay Sachs, all of which were  
12 patented but none of which was exclusively licensed.

13

14 In five of those problem cases the patents  
15 are held by academic institutions. Of the other two  
16 problem cases, BRCA patents are jointly assigned to  
17 a university, NIH and Myriad. In HFE the patent was  
18 assigned to Mercader and two subsequent private  
19 companies. Of those academic institutions doing  
20 exclusive licensing, only one, Duke, for APOE, has  
21 signed on to the nine points as of one month ago.  
22 Minnesota, Utah, Baylor, Hopkins, Institut Pasteur  
23 have not signed on and have exclusively licensed  
24 method or sequence patents identified as having been  
25 raised to shut down testing labs.

1           In no case did the exclusive licensee  
2           introduce a genetic test that was not already  
3           available. So exclusive rights did not lead to new  
4           availability of testing for any condition we  
5           studied. In HFE, APOE, Long QT and BRCA, it is  
6           quite clear that others were already on the market  
7           when the exclusive license entered it.

8           In the case of Long QT there was a year-  
9           and-a-half period where there was no test available  
10          from a CLIA certified lab because DNA Sciences sent  
11          cease and desist letters which led to market  
12          withdrawal but never got their test on the market.

13          In the Evan Overall (ph) study in *Nature*  
14          *Genetics* there were blocking patents in 15 of 22  
15          clinical conditions. Two-thirds of the patents  
16          studied were from academic institutions. The two  
17          largest being Baylor and Hopkins, which, as noted,  
18          have not signed on to nine points.

19          Exclusive licensing has not stopped.  
20          Hopkins and Myriad announced exclusive licensing of  
21          PALB2 testing for familial pancreatic cancer testing  
22          several weeks after the draft SACGHS recommendations  
23          were approved and after AUTM's public comment that  
24          SACGHS recommendations were based on practices that  
25          are no longer prevalent. Moreover, Ambry already

1 offered full gene sequencing that would include the  
2 relevant mutations the day the deal was announced.  
3 So it simply cannot be the case that exclusive  
4 rights were needed to get the test on the market.  
5 The Hopkins license deal is, therefore,  
6 unequivocally a deviation from the nine points.

7 In sum, it appears that most of the  
8 academic research institutions that have exclusively  
9 licensed for diagnostics have not endorsed nine  
10 points. Exclusive licensing is continuing and  
11 several papers have pointed out that in the majority  
12 of clinical conditions studied these are problems  
13 with exclusive licensing that will only get worse  
14 with a multi-allele testing and full genome  
15 sequencing.

16 Thank you for your time.

17 **GOODBYE AWARDS**

18 CHAIRMAN TEUTSCH: Thank you very much.

19 Are there other public comments?

20 If not, I would like to move on to  
21 actually one of the sadder parts of this task, and  
22 that is the time we have to say good-bye to dear  
23 friends.

24 So, first, we say aloha to Sylvia Mann Au,  
25 who has been--preceded me here on this panel and she

1 has been a sunny part of this group all along. She  
2 has provided us wise counsel, involved in--I can't  
3 imagine--you were involved in virtually all of our  
4 panels in one way--

5 She missed Gene Patents, I think.

6 (Laughter.)

7 Oh, you were on that, too, weren't you?

8 DR. AU : (Not at microphone.)

9 CHAIRMAN TEUTSCH: Yes. That's one of the  
10 problems when you come from Hawaii.

11 But she chaired our task force on DTC  
12 genetic testing. She served on patents, genetics  
13 education, clinical utility and comparative  
14 effectiveness, and the policy issues surrounding the  
15 large population cohort study of genes, environment  
16 and disease. So, you have been there and you are  
17 not escaping now.

18 As she knows, we will continue to call on  
19 her as we do call on former members. We also won't  
20 forget that she initiated the well-appreciated  
21 practice of bringing macadamia nuts covered with  
22 chocolate to each of the meetings but I understand  
23 that you have passed the baton and Adam is taking on  
24 that task for which I want you to know we are also  
25 appreciative.

1                   And so, Sylvia, we'll bid you adieu, and  
2 we have a certificate, for you, of appreciation.

3                   (Applause.)

4                   CHAIRMAN TEUTSCH: There's no check.

5                   The other member of our committee who  
6 we'll be losing is not here today. I hope he is  
7 watching over the Webinar because this meeting is  
8 actually occurring at a good time for him. He's in  
9 Melbourne, Australia, and that's Julio Lucinia.  
10 Julio, as I said, Julio has moved to Australia so he  
11 is not here today but he provided us wise guidance  
12 all the way along. He's a researcher, teacher,  
13 author and clinician extraordinaire. He has  
14 provided us insightful comments that were on target,  
15 down to earth, practical, and he has always been a  
16 voice of reason in our discussions. He served on  
17 task forces on policy issues, the large population  
18 study cohort, and pharmacogenomics, as well as the  
19 DTC testing.

20                   So, Julio, if you are listening, we wish  
21 you well. We will call on you as well. And we  
22 thank you for all your service.

23                   We have a certificate for you but that  
24 will be coming by mail. Unfortunately, they did not  
25 allow me to deliver that in person.

1                   So, thank you, Julio; all the best.

2                   All right. So let me move on. We have a  
3 couple other reports.

4                   Is Dr. Hunt here?

5                   Oh, good. That was Rodney.

6                   Dr. Hunt isn't here yet, is he?

7                   So, Dr. Howell, we'd like to go ahead then  
8 and proceed with that.

9                   Dr. Rodney Howell chairs our companion  
10 committee, the Advisory Committee on Heritable  
11 Disorders in Newborn and Children. We have had the  
12 pleasure be of his visit here on prior occasions and  
13 I was delighted to see him here.

14                   He is going to brief us about his  
15 committee's efforts to develop a national policy  
16 recommendation for retention and use of residual  
17 dried blood spots after newborn screening and is  
18 also going to talk to us about a proposal for a  
19 joint ACHDNC and SACGHS task force on carrier  
20 screening, which they've given a great deal of  
21 thought to.

22                   So, Rod, thank you very much.

23

24

25

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

**ACHDNC EFFORTS TO DEVELOP NATIONAL POLICY  
RECOMMENDATIONS FOR THE RETENTION AND USE OF  
RESIDUAL DRIED BLOOD SPOT SPECIMENS AFTER NEWBORN  
SCREENING AND PROPOSAL FOR A JOINT ACHDNC-SACGHS  
TASK FORCE ON CARRIER SCREENING**

DR. RODNEY HOWELL: Steve, thank you very much.

(Slide.)

And I do have two areas to comment about but I will be mercifully brief and will appreciate any questions you have.

I think that most of the people in the room are aware of the fact that there has been considerable discussion in recent months during the past year about what happens to the dried blood spots once newborn screening is completed. We won't go into the details of how those are handled but, suffice it to say, that most states retain these dried blood spots, some indefinitely, and some states, such as California, have literally millions of these spots on hand.

They have historically been used for quality assurance programs and importantly they have been used when a new test is to be developed. If

1 one has a new enzyme assay, such as for Krabbe  
2 disease that you would like to develop, what has  
3 historically happened is those spots that are stored  
4 in their own hand are anonymized, they're run  
5 through the lab so you can get the test working  
6 before you actually introduce it.

7 (Slide.)

8 In view of the fact that there has not  
9 been any national policy on the storage and  
10 retention of residual blood spots, our committee has  
11 been looking at this and has drafted a document, and  
12 it's still very much in preparation, and I will  
13 comment to you about what our committee has thought  
14 about it. And, again, I will simply go through this  
15 briefly to show you what our bullet recommendations  
16 are.

17 (Slide.)

18 One is that we feel that all state newborn  
19 screening programs should have a policy in place  
20 that has been reviewed by the state attorney general  
21 or appropriate legal authority addressing the  
22 disposition of these dried blood spots remaining  
23 after newborn screening.

24 I might point out some of these  
25 recommendations you will say, well, gosh, that seems

1 like a very simple recommendation but let me point  
2 out that very few states have these recommendations.

3

4 (Slide.)

5 We also feel the state newborn screening  
6 program should have a policy in place that has been  
7 reviewed by the state attorney general or other  
8 legal authority that specifies who may access these  
9 dried blood spots, and once they arrive at the state  
10 newborn screening laboratory and further access as  
11 the newborn screening is completed.

12 (Slide.)

13 And important one is that we feel that the  
14 state newborn screening program should work to  
15 ensure that families receiving prenatal care are  
16 educated about newborn screening. Although newborn  
17 screening is done on 4.3 million babies in this  
18 country, it is amazing how few parents really  
19 understand about newborn screening and it's not  
20 uncommon to find families that do not know that  
21 their baby has been screened. And so we think the  
22 newborn screening program should maintain and  
23 distribute education and culturally appropriate  
24 information that includes basic information about  
25 the potential use for dried blood spots.

1 (Slide.)

2 And I think that one of the issues that  
3 has come up in the storing of these samples, and  
4 some of the families have been concerned about this,  
5 is that they learn after the fact that certain  
6 things have been done, such as QA things that they  
7 didn't know were going on. And so I think informing  
8 the families is important.

9 (Slide.)

10 If the spots are to be available for any  
11 other purposes other than the legally required  
12 screening process for which they were obtained, an  
13 indication of the parent's awareness and willingness  
14 to participate should exist in compliance with  
15 federal research requirements. In other words, if  
16 the spots are to be used for true original research,  
17 we feel that that should not be done unless the  
18 persons are aware of that and have agreed.

19 (Slide.)

20 We also feel that the Secretary of HHS  
21 should provide administrative support in funding for  
22 the state program to develop model consent and  
23 dissent procedures for the residual dried blood  
24 spots, national data on the utility of any  
25 additional consent or dissent processes, model

1 educational programs for the general public, and  
2 educational materials for the use of such programs  
3 with facts about potential use of residual newborn  
4 screening both for consumers and prenatal healthcare  
5 providers.

6 (Slide.)

7 It is very important when one is doing  
8 newborn screening to have a process in place that  
9 will permit normal newborn screening to go forward  
10 and that you don't have to become encumbered with an  
11 informed consent process that would impair ordinary  
12 newborn screening.

13 (Slide.)

14 Now, I might point out that this draft  
15 report has been sent around fairly broadly and the  
16 committee has gotten a variety of comments back, and  
17 we would anticipate that this committee--your  
18 committee would want to comment about this formally  
19 in the future.

20 The NIH group made a variety of comments  
21 that our group felt were very worthwhile. The NIH  
22 urged the committee to become an advocate for  
23 research on these spots, setting forth  
24 recommendations for the states to consider, and the  
25 committee proposed voluntary national standards for

1 broad research use that each state could consider.

2 (Slide.)

3 Again, recommend that Secretary provide  
4 resources to facilitate the national dialogue with  
5 relevant stakeholders, and incorporate fuller  
6 discussion of the education in the two audiences,  
7 and we have incorporated that already in some,  
8 consider the potential benefit of suggesting the  
9 creation of a National Repository for Blood Spots  
10 into which parents could voluntarily opt their  
11 children.

12 Let me comment about what that means.  
13 Let's assume that you live in a state that does not  
14 retain the spots at all. In other words, after the  
15 immediate newborn screening program is done the  
16 states will voluntarily discard all the samples.  
17 Some states do that simply because they don't want  
18 to deal with the legal aspects that have to do with  
19 retention. The NIH has suggested, and I certainly  
20 personally agree with it, there ought to be a  
21 mechanism whereby you could say, well, I would like  
22 my baby's spot to be retained because there are a  
23 variety of issues, which we won't go into today, but  
24 these spots have be extremely valuable in a variety  
25 of circumstances, sometimes many years later. And

1 so some families who are well-informed would want  
2 their baby's spot saved and we think there should be  
3 a place that that could be done.

4 (Slide.)

5 The NIH committee--there was a pre-  
6 discussion that some of the recommendations that  
7 were actually incorporated into the document that  
8 was reviewed, and that added some ethical/legal  
9 issues. The ownership of these spots, who owns  
10 these spots, and simply case law was added. And as  
11 far as privacy concerns, we accepted the formal  
12 comments from the Office of Civil Rights that had  
13 commented on the document.

14 We also wanted--we added already awareness  
15 and education, and the role of providing education  
16 to parents, and so forth in the prenatal setting was  
17 added.

18 (Slide.)

19 We are working with the Office of Research  
20 Policy to put comments into the paper and add text  
21 boxes explaining what anonymized, unidentified and  
22 link identifiers are to these spots and how they  
23 might be used and how they are de-identified.

24 (Slide.)

25 But, fundamentally, I simply wanted to

1 apprise you of the fact that this draft paper is  
2 coming along. We have gotten a variety of comments  
3 back on the paper and we would look forward to  
4 hearing from this group.

5 (Slide.)

6 The public controversy over the retention  
7 of these spots, we think, is a very critical area  
8 because if parents and so forth become extremely  
9 concerned about how the spots are handled, the  
10 newborn screening program could be jeopardized  
11 because folks would be concerned about how the spots  
12 are retained and opt-out procedures could be a major  
13 problem in that area.

14 I would be glad to answer any questions or  
15 comments. I'm sure some of you have seen articles  
16 in the paper about this but I have gone over this  
17 very hastily and I realize you might have more  
18 questions.

19 Yes?

20 DR. BILLINGS: Rodney, is the committee  
21 going to take a position on the--given the fact that  
22 some of the collected samples that have been  
23 retained were not consented in a traditional sense,  
24 whether the currently saved samples should be  
25 retained under some exemption from go-forward rules

1 about how you will consent these things going  
2 forward?

3 DR. HOWELL: Let me comment about the  
4 samples that are currently saved. At the current  
5 time there are very few samples that have been  
6 stored that have been stored with consent. Very,  
7 very few states have a consent process. Virtually  
8 all states have an opt-out but not all states have  
9 opt-out procedures and so forth. So the samples  
10 that are on hand have not been consented and so  
11 forth.

12 I think that the committee will make a  
13 recommendation about what might happen with those  
14 spots. In other words, what would be appropriate  
15 for those spots to be used for. And I think it  
16 basically will point out that they have a variety of  
17 uses and so forth that if you were to use them for  
18 research I think the committee will make a  
19 recommendation that that would not be an appropriate  
20 use of a non-consented sample.

21 Let me--I won't go into great details  
22 about it but, for those of you who are not in this  
23 business, what are some of the things that have been  
24 done with these dried blood spots.

25 One of the more common uses that provide a

1 great deal of value is with children who die  
2 suddenly without a known cause and the so-called  
3 metabolic autopsy has been done with some frequency.

4

5 In other words, the dried blood spot would  
6 be sent to a laboratory after a child dies at age  
7 three or four and the child has been discovered to  
8 have, for example, medium chain Acyl-Dehydrogenase  
9 deficiency, so a metabolic autopsy.

10 They have also been used for  
11 identification of children who have been lost in  
12 fires and things of that nature, and so forth. They  
13 have routinely not been used in any legal thing.

14 I would assume that you understand that  
15 lots of people are interested in these spots. For  
16 example, the police would like to get them and  
17 things of that nature. And they historically have  
18 not been available at all. However, a court can  
19 order a spot to be released but that's a legal  
20 issue, and so forth, et cetera.

21 But—yes?

22 MS. ASPINALL: This is Mara. I just have  
23 a quick question about that. Does that mean that  
24 despite the fact that in this repository they will  
25 be de-identified, that if an individual family has a

1 need to retrieve the information from their spot in  
2 the future to make a health-related decision for the  
3 child, they will indeed be able to do that more  
4 effectively? And I know there have been many  
5 discussions but in several states today, while that,  
6 in theory, exists, has not been practical in  
7 reality.

8 DR. HOWELL: The spots, by and large, are  
9 identified when they stored in the state. In other  
10 words, the identification is known only to the state  
11 laboratory, et cetera, but they are identified at  
12 the state level. However, they are not released to  
13 anybody in an identified fashion and so forth, et  
14 cetera.

15 Let me point out one of the--the public,  
16 interestingly enough, is very concerned about these  
17 spots for reason that really come down to lack of  
18 information about genetics. Some--there's one  
19 advocate for destroying these spots who is convinced  
20 that you can do something quite remarkable with it  
21 and that you could take a dried blood spot and just  
22 out of the blue identify someone, and of course you  
23 can't do that.

24 The second thing they are concerned about,  
25 you know, if you have my DNA you can do evil things,

1 ranging from cloning on down.

2 So the perception of what you can do with  
3 these is really a very, very interesting problem.

4 CHAIRMAN TEUTSCH: So we sent out the  
5 draft report to all members of this committee.

6 DR. HOWELL: Yes.

7 CHAIRMAN TEUTSCH: I don't know if you  
8 received comments from folks but we'd obviously be  
9 interested in addressing—you'll be sending the next  
10 one out for public comment—

11 DR. HOWELL: Yes.

12 CHAIRMAN TEUTSCH: --after you've done  
13 your revision.

14 DR. HOWELL: Yes. And we would greatly  
15 appreciate people's comments because this is an  
16 extremely important document about recommendations  
17 to the states so the states do have some organized  
18 method of handling these.

19 CHAIRMAN TEUTSCH: Do you know the time  
20 frame when that report will be out? Because the  
21 problem with us the last time was we didn't convene  
22 again so it was hard to get a group.

23 DR. HOWELL: We would probably some  
24 modifications from our previous meeting taking into  
25 account the report—the comments we had and that

1 should be out certainly within the month.

2 CHAIRMAN TEUTSCH: Within the month.

3 So you're talking about between March and  
4 April having—

5 DR. HOWELL: Yes.

6 CHAIRMAN TEUTSCH: --comments.

7 DR. HOWELL: It should be. And we will  
8 provide that to Sarah to distribute if you would  
9 like.

10 CHAIRMAN TEUTSCH: Yes. I am thinking  
11 more about the process that we have because we will  
12 not be convening over that timeframe.

13 DR. HOWELL: Yes, right.

14 CHAIRMAN TEUTSCH: But we will definitely  
15 get it out to individuals.

16 DR. HOWELL: This process is not going to  
17 be an immediate one so I think that you probably  
18 will have time to be very thoughtful in your  
19 comments and so forth.

20 CHAIRMAN TEUTSCH: So if we actually  
21 discuss this at our June meeting that would still be  
22 timely?

23 DR. HOWELL: Yes.

24 CHAIRMAN TEUTSCH: That would be helpful.

25 That's—

1 DR. HOWELL: Let me make one comment that  
2 I want to be very clear. These non-consented spots  
3 have in virtually no circumstance that I'm aware of,  
4 and I'm really aware of most things that have  
5 happened, been used for research. And it has to do  
6 with what people consider research. For example, if  
7 I am running a laboratory and I'm setting up a new  
8 test and I use the anonymized blood spots, we would  
9 consider that laboratory quality assurance and  
10 quality control not research. In other words, you  
11 are simply establishing a technology to use these  
12 spots and that's the overwhelming use that these  
13 spots have been made.

14 DR. BILLINGS: Will the document be  
15 explicit about this problem with the potential use  
16 of these spots for forensic purposes and the threat  
17 to the newborn screening program that you commented  
18 on?

19 DR. HOWELL: I think that we will  
20 certainly try to incorporate that and so forth.

21 The newborn screening—the reason it has  
22 ordinarily been mandated by the states is as a  
23 mandate it does not require consent, and so that's  
24 the history that we come against. However,  
25 increasingly states are asking people if they would

1 be interested in having their sample be used for  
2 certain kinds of research, et cetera. And so I  
3 think that we will have model documents of that so  
4 that they can be available for things that come to  
5 the table.

6 CHAIRMAN TEUSCH: I just want to return—so  
7 what I'm hearing from Rod is we will have a chance  
8 to comment. I'd like to form a little group that  
9 can take the report that should be out here in the  
10 next month or so and comment on it so that we can  
11 then discuss it in June and then decide, you know,  
12 collectively how we might wish to respond.  
13 Obviously people can do that individually as well.  
14 So who would be interested in doing that?

15 Everybody!

16 MS. ASPINALL: I will.

17 CHAIRMAN TEUSCH: I've got Mara, Paul,  
18 Janice, Alberto, Paul, Andrea, David. My god. Wow!

19 (Laughter.)

20 MS. ASPINAL: Wow!

21 CHAIRMAN TEUSCH: I'll tell you folks—

22 UNKNOWN: How about those that don't want  
23 to be on raise their hands.

24 (Laughter.)

25 CHAIRMAN TEUSCH: Wow! Hey, I think you—

1 Rod, do you want to take over this committee?

2 (Laughter.)

3 DR. HOWELL: Wait until we get to the  
4 next subject.

5 CHAIRMAN TEUTSCH: Did you get all of  
6 those people because we've got Charmaine, and Muin,  
7 and Paul, David, Andrea, Adam, Alberto, Janice, Paul  
8 and Mara, I think.

9 MS. ASPINALL: Is that your definition—  
10 Steve, is that your definition of small?

11 (Laughter.)

12 CHAIRMAN TEUTSCH: Yes, I was going to  
13 say—all right. Rod, why don't you tell us about the  
14 carrier screen?

15 DR. HOWELL: Let me go back and make one  
16 final comment, and that is that there have been a  
17 variety of studies done about parents' perception  
18 about these spots, and so forth. And the basic  
19 issue is that when folks have been concerned, they  
20 have been concerned because they didn't understand  
21 what might be done. And, as a corollary, to that if  
22 you explain that these spots are saved and they're  
23 retained for quality assurance, the vast majority of  
24 people are perfectly comfortable with that.

25 And if you are doing straight out real

1 research on the spots people would want to be asked.

2 I think that's totally appropriate.

3 And the situation that has really been a  
4 problem is one of information.

5 (Slide.)

6 I want to talk just briefly about carrier  
7 screening with you. Our committee has focused very  
8 heavily on newborn screening but the charge to our  
9 committee is broader than that, and would include  
10 genetic testing in children for other purposes.

11 There has been a considerable discussion  
12 among members of our group at the NIH and otherwise  
13 about carrier screening has been going on for a very  
14 long time with certain genetic conditions among the  
15 Ashkenazi Jewish community, for cystic fibrosis as a  
16 recommendation that it made be available after an  
17 NIH consensus conference about a decade ago, and  
18 there has been considerable interest among certain  
19 people that carrier screening be adopted for spinal  
20 muscular atrophy, and there was a conference at the  
21 NIH sponsored by several institutes in the past year  
22 looking at carrier screening broadly but, more  
23 specifically, at spinal muscular atrophy.

24 (Slide.)

25 Our committee has been interested in

1 looking at some of the issues and there's been a  
2 very specific issue that has been brought to our  
3 committee that I would like to tell you about very  
4 briefly that has to do with carrier screening for  
5 sickle cell disease.

6 (Slide.)

7 In newborn screening all states for many  
8 years have screened for the hemoglobinopathies. And  
9 as a part of the newborn screening for  
10 hemoglobinopathies one identifies carriers for  
11 sickle cell disease. They are routinely identified.

12 And states have handled this in a very different  
13 way. Some states do nothing with it. Some states  
14 have a rather formal mechanism of informing people  
15 that an infant has been identified as a carrier for  
16 a hemoglobinopathy.

17 I don't know whether it has changed but  
18 one of the more interesting systems that I have been  
19 aware of is that which used to exist, and probably  
20 still does, in Georgia. They send a letter out that  
21 says we have information that might interest you  
22 and, if you are interested, call us. And so about  
23 half the people do call and say, "What do you know  
24 that I would like to know," and it has to do with  
25 carrier screening.

1                   But at our recent meeting we had a very  
2 nice presentation by Lynetta Jordan, and I am using  
3 her slides this morning. Dr. Jordan is the chief  
4 medical officer of the Sickle Cell Disease  
5 Association of America. And the question she  
6 addressed that had been brought to our committee had  
7 to do with carrier screening for sickle cell  
8 disease. Now, one might say, "Well, goodness, you  
9 already screen all people with sickle cell disease."

10          But the point is that, number one, most people  
11 don't know about it and, if they did know, they  
12 forget so, by the time you get to the young adult  
13 that we're going to be talking about this morning,  
14 you don't know.

15                   (Slide.)

16                  Now, I might point out that virtually all  
17 babies have been screened for hemoglobinopathies for  
18 more than a decade and I might point out this is a  
19 very effective program. In other words, if you  
20 identify an infant with sickle cell disease you can  
21 be very effective with lifesaving vaccinations  
22 against bacterial meningitis, and so forth. And as  
23 I said, states have been very variable in how they  
24 report carrier status.

25                  And, of course, there has been a very--

1 lack of agreement about clinical evidence of health  
2 risk of being a carrier for sickle cell disease.

3 (Slide.)

4 And this is a timeline, is that the--in  
5 2007 there was discussion among athletic groups  
6 about the fact that carriers for sickle cell disease  
7 might be at increased risk for certain sudden death  
8 in extreme exercise. The Sickle Cell Disease of  
9 America Group met in June of 2008 and did not  
10 support a recommendation that would have athletes be  
11 screened. However, in June of 2009 the NCAA, which  
12 is a very important athletic organization in this  
13 country as those of you who are in athletics know,  
14 they made a specific recommendation that  
15 institutions test student athletes for carrier state  
16 for sickle cell disease. And that particular  
17 problem came to our committee.

18 A variety of people have been asked to  
19 comment about that and there was a commentary in AAP  
20 news and in December of 2009 the Sickle Cell Disease  
21 Association of America, the NIH, HRSA and others had  
22 a meeting on the public health implications of  
23 sickle cell trait.

24 (Slide.)

25 Let me tell you some--there fortunately is

1 a considerable amount of data about relative risk of  
2 having carrier state of sickle cell disease and the  
3 big data come from the military. And they did a  
4 retrospective analysis of two million military  
5 recruits, a big number, needless to say, and they  
6 discovered that African-American recruits with  
7 hemoglobin S trait, there were 13 deaths during that  
8 period of time, and African-Americans and other  
9 recruits with no carrier state had five deaths,  
10 indicating there was a considerable difference in  
11 the relative risk between these two groups.

12           However, there was an intervention period  
13 brought to bear, again with 1.8 million troops going  
14 forth, and basically what was done is they developed  
15 a strict protocol to prevent exercise health injury  
16 and illness, and so forth. And, interestingly,  
17 during that period of time by simply--by having a  
18 very--and, again, I don't remember the details of  
19 this but this was basically a very specific  
20 requirement about fluids and exercise, and so forth.

21       And during that period of time not one of the 13  
22 predicted deaths occurred. So basically the  
23 prevention of exercise related death did not require  
24 identification of sickle cell trait, such as  
25 prevention, et cetera. They concluded, quite

1 properly, that heat illnesses, as preventable  
2 factor, contributed to sudden exercise related death  
3 in persons with sickle cell disease.

4 (Slide.)

5 And so, fundamentally, the evidence does  
6 support that sickle cell trait is increased risk for  
7 exertional health illness. However, it does not  
8 exclude military personnel in any of the branches  
9 listed and very simple preventive methods can be  
10 used to prevent this illness.

11 (Slide.)

12 Now, what would be the implications of the  
13 NCAA recommendation? Number one, there are 400,000  
14 college athletes, eight million high school  
15 athletes, Sickle DACS, which is a simple screening  
16 test, is not appropriate. It's not reliable. So  
17 you would need to do a hemoglobin electrophoresis on  
18 that and it would cost \$20 million for the college  
19 and \$400 million for the high school. So a  
20 recommendation of this nature, if it were indeed  
21 carried out, is a big and very expensive procedure.

22 (Slide.)

23 Now, that was a presentation that we had  
24 from Dr. Jordan. And it would appear—I mean  
25 obviously there's tremendous concern about screening

1 high school—among our group about screening high  
2 school athletes for sickle cell disease because you  
3 would not only identify persons with the condition,  
4 you would obviously single out carriers. And I  
5 might point out, as this group knows; you are  
6 looking at a very significant portion of African-  
7 American athletes who would be earmarked as having a  
8 special problem and being in a special program.

9           And our group is just in the early parts  
10 of discussing this but it would seem prudent to say  
11 that this is probably not a very good recommendation  
12 and what you should do is come up with a very  
13 sensible program as far as high school athletes.

14           (Slide.)

15           Now, the reason—I had two reasons for  
16 wanting to present that. As we think about carrier  
17 screening and so forth, and we are in the very early  
18 phases, I have talked—we have with Steve and with  
19 Sarah briefly—is that we think it would be  
20 profitable for members, a group from our committee  
21 to work with a group from your committee because  
22 there are very broad implications as far as  
23 legal/ethical issues and so forth when you look at  
24 carrier screening of a population of this nature.  
25 So I would really invite your interest in

1 considering some of these issues as we go forth, and  
2 we are really literally just starting.

3 In order to get you out of the snow this  
4 morning--I had some other slides which you will be  
5 glad I deleted but one of the things I assume that  
6 many of you know is that while we were starting to  
7 consider this issue the announcement by Counsyl,  
8 which is a group in Redwood City, California, was  
9 made where they're offering direct to the consumer  
10 carrier screening for 100 conditions, and they are  
11 quite aggressively advertising that. So carrier  
12 screening is emerging as a very big deal.

13 If you look at the diseases on the Counsyl  
14 carrier screening list it will give you hives  
15 because I don't know--I mean some of the conditions  
16 are breathtakingly rare and so forth but if you have  
17 not seen the website I urge you to look at it. It's  
18 C-o-u-n-s-y-l. And I urge you to look at the  
19 conditions on their screening panel but, again, they  
20 are advertising it directly to the public and you  
21 get the information yourself.

22 So we think that the carrier screening  
23 issue is becoming a big issue and we would invite  
24 you to--I would hope that you would have some  
25 interest in having a group work with some group from

1 our committee to think about these issues.

2 Thank you.

3 CHAIRMAN TEUTSCH: Great.

4 Jim, you had a comment?

5 DR. EVANS: Yes. Those are fascinating  
6 data about sickle cell disease and I just want to be  
7 clear. In the intervention was that applied across  
8 the board?

9 DR. HOWELL: Yes.

10 DR. EVANS: Okay. So—and you said the 13  
11 expected deaths were prevented. Were the five  
12 expected deaths prevented in the non-sickle carrier  
13 group as well?

14 DR. HOWELL: I don't know. These are  
15 Lynetta's slides as I told you.

16 DR. EVANS: Yes, this is fascinating.

17 DR. HOWELL: But the—I think that one of  
18 the problems in the data that has been presented is  
19 that high school athletes—and you read in the paper  
20 all the time that a very attractive high school  
21 athlete died suddenly. I mean you see this all the  
22 time.

23 DR. EVANS: Right.

24 DR. HOWELL: And one of the things that  
25 we don't know, and they tend to identify an African-

1 American who is a carrier or something, but we don't  
2 know about someone else who died-

3 DR. EVANS: Right.

4 DR. HOWELL: --who did not carry the  
5 traits.

6 DR. EVANS: Right.

7 DR. HOWELL: So-

8 DR. EVANS: And like you say, across the  
9 board sensible interventions would probably benefit  
10 everyone.

11 DR. HOWELL: It would. It would--and  
12 these are not remarkable interventions. They are  
13 simply limiting exercise when the temperature is  
14 above 120 and providing adequate water and so forth.  
15 They are very simple.

16 (Simultaneous discussion.)

17 DR. HOWELL: Only in Tucson where the  
18 temperature at times--

19 CHAIRMAN TEUTSCH: Charmaine?

20 DR. ROYAL: Rod, I just really wanted to  
21 support the importance of that. At Duke I work with  
22 the Sickle Cell Center there and they have been  
23 approached by the athletic department about this  
24 recommendation by the NCAA and the issues that it  
25 raises. So it's something they are talking about as

1 well.

2 DR. HOWELL: It's—so many people with  
3 sickle cell disease and the other people are being  
4 contacted around the country, and we think that such  
5 a policy has just enormous implications and we think  
6 we should move fairly briskly to comment about that.

7 I might point out that this is a matter of  
8 public record but one of our distinguished members  
9 of our committee happens to be a distinguished  
10 pediatric hematologist and he was to be on the  
11 Olympic team for Ghana when he was a student at Yale  
12 University. And since the Olympic Games were in  
13 Mexico City at the time, they screened him because  
14 of altitude issues for the presence or carrier state  
15 of sickle cell disease, and he learned at that time  
16 he carried sickle cell, that he is a carrier for  
17 sickle cell disease. It turned out that he was not  
18 barred from the games because he carried sickle cell  
19 disease but because Ghana dropped out of the games  
20 because of the participation of the apartheid state  
21 of South Africa but it was very interesting.  
22 Needless to say our committee benefits from somebody  
23 who not only is an expert hematologist but has a  
24 very personal stake in this game.

25 CHAIRMAN TEUTSCH: So what I would like to

1 do is just get a sense of this group.

2 Rod and his colleagues are going to try to  
3 move this agenda forward and come up with a  
4 proposal.

5 What I would like to do is get a sense as  
6 to whether folks here would like to be part of that  
7 process so that we can take this up in some joint  
8 way, yet to be determined, along with Rod's  
9 committee.

10 I say that because I can never remember  
11 all the initials, Rod.

12 (Laughter.)

13 So general—I see nods around the table.

14 So, Rod, my sense is that you and your  
15 colleagues will look at how that might proceed.

16 DR. HOWELL: Yes.

17 CHAIRMAN TEUTSCH: And then in June we  
18 will have a chance to—

19 CHAIRMAN TEUTSCH: No, we won't form a  
20 group now. We will wait. Rod will come up with a  
21 proposal. We'll hear about it in June and then  
22 proceed. We'll discuss that and then proceed to  
23 formulate a group presumably.

24 DR. HOWELL: But I think this is a very  
25 interesting and highly relevant discussion. It's

1 not theoretical but a very practical discussion.

2 CHAIRMAN TEUTSCH: It's very practical,  
3 relevant and—

4 DR. HOWELL: And as Charmaine mentioned,  
5 people are calling about it.

6 CHAIRMAN TEUTSCH: And overlaps clearly  
7 with the interest of both groups.

8 DR. HOWELL: Yes.

9 CHAIRMAN TEUTSCH: So thank you so much.

10 DR. HOWELL: And we appreciate your  
11 interest.

12 CHAIRMAN TEUTSCH: Thank you.

13 That's terrific.

14 I believe Dr. Hunt is here now. Is that  
15 correct?

16 CHAIRMAN TEUTSCH: Oh, right behind me.  
17 Okay. Great.

18 Dr. Hunt is here from the Office of the  
19 National Coordinator for Health Information  
20 Technology and he's in the Office of the Health  
21 Information Technology Adoption.

22 As you know, we heard from Dr.  
23 Blumenthal, I believe at our last meeting—

24 MS. CARR: June.

25 CHAIRMAN TEUTSCH: In the June meeting and

1 we provided some comments at that time.

2           Since then, the proposed regulations on  
3 the meaningful use of electronic health records have  
4 been disseminated and so we are going to hear an  
5 update about the process from Dr. Hunt and then what  
6 we will need from this group is to see how we might  
7 respond.

8           So, Dr. Hunt, thank you so much for  
9 joining us. If you had been here yesterday, you  
10 would have heard keen interest in this topic. There  
11 has continued to be--it has been a topic of high  
12 interest actually for many years.

13           So thank you for joining us.

14           **OFFICE OF THE NATIONAL COORDINATOR FOR HEALTH**  
15                           **INFORMATION TECHNOLOGY**

16           DR. HUNT: Oh, thank you.

17           I am thrilled to be here and first I have  
18 to give my sincere apologies for running late. As  
19 most of you in the area and some of you who aren't  
20 from the area know, the mid Atlantic region--we are  
21 the official weather weenies of the entire country.

22                           (Laughter.)

23           So, unfortunately, the red line of the  
24 Metro was having their pre-apocalyptic apoplexy--

25                           (Laughter.)

1                   --this morning so I was later than I  
2                   expected.

3                   CHAIRMAN TEUTSCH: Well, we should say  
4                   that we have made it particularly difficult for Dr.  
5                   Hunt. We have moved him all around the schedule and  
6                   he has been extraordinary in his willingness to  
7                   adapt to our weather and other challenges.

8                   Thank you.

9                   DR. HUNT: No problem. My pleasure.

10                  I bring you greetings. I know that Dr.  
11                  Blumenthal spoke here earlier and he sends his  
12                  greetings also.

13                  I'm absolutely thrilled to be here because  
14                  the exciting issues you are discussing are really  
15                  coincident with one of the most transformative  
16                  moments in American medicine. You see 2010 will be  
17                  among the most interesting years we have ever seen,  
18                  we hope, and our office, the Office of the National  
19                  Coordinator, is really charged to help describe what  
20                  can make this year and the next five or six  
21                  hereafter meaningful with regard to health IT.

22                  (Slide.)

23                  Now given that statement, and I know Dr.  
24                  Blumenthal probably referenced a lot of this  
25                  material when he was here before, given that

1 statement, and our office name, I suppose a  
2 reasonable assumption is that I'm here to talk about  
3 health IT but actually you'd be wrong. That's not  
4 the primary focus of our office at all.

5 (Slide.)

6 It sounds like I just contradicted myself  
7 but I'm sure a great deal of our programs and a huge  
8 amount of our resources I will discuss have an  
9 obvious association with information technology but  
10 a more appropriate way to describe our mandate from  
11 the President and Congress is to say that the Office  
12 of the National Coordinator has been be given  
13 unprecedented resource and authority to effect an  
14 improvement in the value and the efficiency of  
15 healthcare services through the meaningful use of  
16 information technology.

17 (Slide.)

18 And the need for that improvement is  
19 pretty clear. In 1998 Cyril Chantler clarified it  
20 as well as probably anyone. He pointed it out that  
21 years ago medicine used to be safe, simple and  
22 relatively ineffective. "Today I practice in a  
23 world in which my efforts can be very effective but  
24 almost everything about the practice is more complex  
25 and potentially dangerous."

1           So understanding at ONC we have a firm  
2 conviction that properly applied information  
3 technology--properly applied tools can help me as a  
4 surgeon be more mindful of my patients and learn new  
5 insights into the quality of care that I provide.

6           But I spend a lot of time managing  
7 expectations to make sure that we are careful not to  
8 fall into the trap of thinking that everything will  
9 be just fine when we get the guys at Google and eBay  
10 to apply their skills to our domain. But I think  
11 you all, better than most perhaps, appreciate the  
12 fact that health IT or technology is really just one  
13 piece of a much, much larger solution.

14           (Slide.)

15           The compelling solution for 2010 and  
16 beyond pivots around the simple question: Can we  
17 use information technology as a vehicle to change  
18 our culture and in turn our methods in 21<sup>st</sup> Century  
19 American healthcare?

20           (Slide.)

21           I mentioned earlier that our President and  
22 Congress gave the Secretary and Dr. Blumenthal a  
23 mandate and I think it's pretty helpful to look just  
24 briefly again at the text of the mandate.

25           (Slide.)

1                   Here I have taken a section of the text  
2                   and highlighted some key words and phrases. It  
3                   starts with "security." It goes on, "Quality and  
4                   Cost." Further along you'll see that we have a  
5                   clear direction to facilitate the meaningful use of  
6                   electronic records nationwide. And we also see  
7                   throughout that the overarching subtext is to  
8                   improve the quality of care while making sure that  
9                   the information remains secure and supports our  
10                   institutions of public health.

11                   (Slide.)

12                   So, we are talking about improve, ensure,  
13                   reduce, protect, facilitate, promote. It's a pretty  
14                   formidable list of challenges but again I ask you to  
15                   take note that the transcendent goal is not to  
16                   acquire cool hardware. The point is not to have the  
17                   latest software. The infrastructure is a means to  
18                   an end or it is nothing at all but don't let me get  
19                   too far ahead of myself.

20                   I have it on very good authority that I  
21                   have to bottom line you. I always recount the  
22                   public speaking advice my daughter once gave me.  
23                   She told me, "Dad, don't take this the wrong way but  
24                   you have to tell your audience very early on, within  
25                   five or ten minutes, what you are going to talk

1 about because after that time you have become very  
2 boring and tedious."

3 (Laughter.)

4 So who could take that wrong at all? I  
5 don't know.

6 (Laughter.)

7 So the first thing that I want to tell you  
8 to take home is that this moment of time, possibly  
9 more than any other, is a time for clinical  
10 leadership.

11 (Slide.)

12 And next, while we lead, I think the  
13 essence of this conference and this group actually  
14 points to the fact that we must be the very first to  
15 acknowledge that this work is a team sport and any  
16 success we have is wholly dependent on the strength  
17 of our partnerships. You see, our current  
18 circumstance is not due to a lack of technology and,  
19 therefore, technology alone cannot be the entire  
20 solution and, above and beyond all else, we must  
21 form strong partnerships in that regard.

22 (Slide.)

23 And that brings me to my final point and I  
24 always tell my audiences to rest assured that no  
25 direction beyond this point will be easy. And while

1 I can't stand up here and promise you only blood,  
2 sweat, toil and tears, you have to understand that  
3 the path forward requires a system, tremendous  
4 resources and no small amounts of courage.

5 And with those acknowledgments and looking  
6 at all the promise health IT holds, I will say that  
7 at ONC our first and steepest challenge is spurring  
8 the adoption of electronic health record in clinical  
9 practice.

10 (Slide.)

11 And here you will see some pretty newly  
12 published and very preliminary numbers on the state  
13 of adoption. This came out a little less than a  
14 month ago from the CDC. Looking at practicing  
15 physicians we see that in 2008 only four percent or  
16 a little bit more than four percent were using an  
17 electronic health record that can do the work that  
18 we need, that is handle progress notes, order labs,  
19 meds, x-rays and view the results.

20 Today it looks like we may have increased  
21 that number by about two points which still leaves  
22 us a long, long way to go.

23 And why is that?

24 Why are we still in single digits for  
25 adoption?

1 (Slide.)

2 Well, the answer is pretty clear.

3 (Slide.)

4 Here we see the top six barriers to  
5 adopting an electronic health record.

6 In short, for many, it has not been worth  
7 it. Collectively, we in the clinical community have  
8 been very clearly saying that to embrace electronic  
9 health records, our needs have to be met.

10 (Slide.)

11 Now, I use this slide nearly everywhere I  
12 go because I find it such a wonderful construct to  
13 frame our challenge, as well as our solutions.

14 (Slide.)

15 This is a diagram from the work of Abraham  
16 Maslow, who, in 1943, described a theory of human  
17 motivation. In it Maslow essentially divided our  
18 needs into growth needs and deficiency needs.  
19 Deficiency needs are physiologic. They have to be  
20 met first and, once met, the individual seeks to  
21 satisfy the needs of growth.

22 (Slide.)

23 Well, we can apply Maslow's hierarchy to  
24 our current circumstance in health IT and in doing  
25 it we'll assign the foundational need as privacy and

1 security. Beyond that, moving up the needs of  
2 growth, we see the components of usability, basic  
3 functions, a strong business case. And, finally, at  
4 the top a most fulfilling achievement, one that many  
5 of us often gather to discuss, information exchange.

6 (Slide.)

7 Now, let's look at how those requirements  
8 will translate into action from our office. I  
9 mentioned earlier that privacy is the foundation for  
10 moving forward and the reason is obvious and you  
11 probably, better than virtually any group,  
12 understand this. The tenets of privacy are old in  
13 my profession and the Recovery Act clearly speaks to  
14 this point.

15 And, fortunately, to help us build the  
16 infrastructure that will support that fabric of  
17 trust in all of our other programs, Congress  
18 provided that we had more than a policy of good  
19 intentions.

20 (Slide.)

21 I just point this out to say this is a  
22 serious endeavor and our intent at HHS is to create  
23 durable, measurable, reliable, improvement in  
24 healthcare.

25 So one of the first issues we're

1 addressing is how to help providers choose and  
2 effectively implement this technology.

3 Well, a few months ago our department  
4 released a framework regarding how we can help give  
5 technical assistance to health IT regional extension  
6 centers. And within the next few weeks I anticipate  
7 we will be able to announce the first of those  
8 organizations that will be out of the chute to  
9 provide that assistance.

10 Now, the goal of these centers will be to  
11 provide hands-on technical assistance in  
12 implementing the technology.

13 (Slide.)

14 Now, they will do this for more than  
15 100,000 physicians. Our office is dedicating over  
16 \$600 million on this assistance. And that  
17 assistance will be specifically directed at  
18 providers that are least likely to be able to do  
19 this on their own.

20 (Slide.)

21 Here you can see that those awarded grants  
22 must prioritize assistance to those in historically  
23 underserved areas with the ultimate goal of reducing  
24 health disparities.

25 (Slide.)

1           Reducing disparities is a primary goal and  
2 clearly our needs in this regard are as acute as  
3 ever, which really explains this recent headline  
4 that there is becoming ever more evidence of a  
5 growing digital divide.

6           (Slide.)

7           You see, if we believe that information at  
8 the point of care can make a difference in the  
9 quality and the value and the safety of that care  
10 and, what's more, that this information can go on to  
11 support institutions of public health and social  
12 priorities, then the imperative of these findings  
13 become much more critical and are easily understood.

14          (Slide.)

15          So this really begs the question, what is  
16 the meaningful use of information technology?

17          (Slide.)

18          Well, I have to give a little bit of a  
19 disclaimer right here. Right now we just published  
20 the rules a little more than a month—a little bit  
21 more than a month old, a proposed rule for the  
22 meaningful use of health IT and, because of that, we  
23 have officially entered a comment period for that  
24 proposal which means I can't provide any  
25 interpretations of it beyond what is published.

1 (Slide.)

2 Now, the good news and the announcement  
3 that is of greatest import actually is that we are  
4 collecting comments on this. Clearly we believe  
5 that the proposal meets the requirements established  
6 by Congress in the statute and that statute gives  
7 pretty clear contours for the meaningful use. For  
8 example, the EHR must be certified and we must be on  
9 a trajectory that includes exchange of information  
10 of real value to the patient, such as care  
11 coordination.

12 (Slide.)

13 But let me get back to the most important  
14 thing that I can offer, namely that as a published  
15 proposed rule we are desperately, desperately asking  
16 everyone and anyone who has thought about us moving  
17 forward to please submit a comment. So I invite  
18 everyone on this panel to submit a comment.

19 Let me say that again. We are begging  
20 everyone to submit a comment because you will see  
21 that for many groups, many particularly very  
22 interested groups the proposed rule landed sort of  
23 flat. We took a tremendous amount of testimony, and  
24 obviously we are working on a very, very aggressive  
25 timeline, and some were a little bit disappointed

1 that some of the thoughts and ideas within their  
2 testimony weren't fully fleshed out within the  
3 proposed rule. There are a number of reasons for  
4 that, not the least of which is again the aggressive  
5 timeline. Our thought all along was that if we can  
6 put up a reasonable proposal that we definitely can  
7 perfect this rule with comments, and the comment  
8 period lasts from now, and it ends—I believe it's  
9 March 13th.

10 (Slide.)

11 Well, the gift of this opportunity to  
12 speak really—to you really has the price that this  
13 is a very brief presentation and doesn't provide  
14 opportunity—provide more than a cursory  
15 acknowledgement of a lot of the other programs that  
16 are being launched for health information exchange,  
17 workforce development and beacon communities.

18 (Slide.)

19 Now beacon communities are quite  
20 interesting. They hold probably the greatest  
21 promise because in those communities we are looking  
22 to see the full flower of what this technology can  
23 achieve. Essentially what we are doing is we are  
24 providing grants to about 15 communities and the  
25 grants can range anywhere from \$10 to \$20 million.

1 And the thought is that these grants will go to  
2 communities that are a little further along, a  
3 little bit more advanced in terms of their health  
4 IT, particularly that they probably have higher  
5 adoption numbers already, much higher than the four  
6 percent national average that we have, and the  
7 thought is that they will provide—the resources will  
8 provide a way for them to fill in the gaps and  
9 really, really demonstrate the full potential of  
10 what health information technology can do in one  
11 complete community.

12 And we all recognize that the essence of  
13 that is to see real exchange.

14 (Slide.)

15 And, finally, I would be remiss if I don't  
16 highlight one other area, and it is not involved in  
17 the high tech act at all but I think that this is,  
18 along with the beacon community program, probably  
19 one of the most exciting areas of all, and that is  
20 comparative effectiveness research.

21 This investment will obviously benefit all  
22 Americans but it is clear that some of the greatest  
23 value will be in communities and groups that aren't  
24 traditionally included in research protocols.

25 (Slide.)

1                   Well, I'll wind up and answer any  
2                   questions that you have, just by restating my  
3                   central thesis, which namely is that our current  
4                   circumstance is not solely due to a lack of  
5                   technology and, therefore, it can't be solved by  
6                   technology alone. It is no small matter that two of  
7                   the statutory criteria for meaningful use involve  
8                   information moving, information exchange. The whole  
9                   point is to provide a means to facilitate  
10                  communication, and the transfer of information, and  
11                  possibly even the transfer of knowledge. In the  
12                  right hands at the right time, information can be  
13                  transformative.

14                  The full, complete, rapid and regular  
15                  exchange of medical information will represent a  
16                  singular change in our culture and I can think of no  
17                  better way to increase the value of our services  
18                  than to make their provision fully informed.

19                  The alternative is equally remarkable.

20                  To continue, each of us in our own silo,  
21                  putting one new innovation on top of another with no  
22                  real consideration of how one piece of information  
23                  informs, supports, or confounds another means that  
24                  we will keep our current haphazard and dysfunctional  
25                  method of taking care of patients.

1                   It means that we will recreate the  
2                   experience of Babel.

3                   So I hope you understand that while  
4                   necessary, computers are not the whole answer  
5                   because again the question is not how much  
6                   technology do we need; the question is how do we  
7                   improve the quality of care for all Americans and,  
8                   in turn, effect that elusive, yet supposedly self-  
9                   evident truth that among our inalienable rights are  
10                  life, liberty and the pursuit of happiness.

11                  (Slide.)

12                  Now, while I am frequently prone to  
13                  exaggeration, in this point I am not being  
14                  hyperbolic because I've seen that the pursuit grows  
15                  slower for our kids who aren't immunized.  
16                  Preventable cancers have separated far too many of  
17                  our people from their right to life, and the full  
18                  flower of liberty is not as apparent to those that  
19                  rise every morning with a disability, with  
20                  Alzheimer's, or with HIV.

21                  (Slide.)

22                  Now, a computer won't make that right but  
23                  information technology can assure that a  
24                  pediatrician sees a list every morning of the  
25                  patients coming that day that aren't up to date on

1 immunizations, as well as the names of their  
2 brothers and sisters who will probably in tow with  
3 mom when she arrives for the appointment.

4           Every man and woman having an electronic  
5 record means that our best minds can really ask and  
6 answer the question what treatments work best for a  
7 48-year-old Latina with breast cancer or a 56-year-  
8 old African-American with node negative prostate  
9 cancer? And what are the full portfolio of services  
10 they will need to effectively implement that  
11 treatment?

12           (Slide.)

13           Now, a computer won't rid the world of  
14 AIDS but will afford well-meaning people the liberty  
15 of having their care coordinated in such a way that  
16 every one of their doctors knows the results of all  
17 of their tests.

18           You see nine years ago the Institute of  
19 Medicine got it right. Quality care is efficient,  
20 effective, safe, patient-centered, equitable and  
21 timely but just saying that won't make it real and  
22 it only begins to describe what we need to do to  
23 reform healthcare.

24           With information systems we can see the  
25 true choices and the balance that must be preserved.

1       That balance is highlighted in my reality as a 21st  
2       Century American surgeon and that reality stands in  
3       immediate juxtaposition with the fact that no  
4       country, no national enterprise has fully and  
5       successfully implemented what we are attempting to  
6       deliver.

7                       (Slide.)

8               Now, I used to end my implementation  
9       presentations with a quote from Voltaire that  
10      basically said that doubt is uncomfortable but  
11      certainty is ridiculous. And while that is very  
12      true, and while we stand on the cusp of this new  
13      year, I appreciate Mr. Twain's observation even  
14      more.

15               We in the Office of the National  
16      Coordinator are willfully and purposefully grabbing  
17      this cat by the tail and, in doing so, we are about  
18      to understand things that could have been learned in  
19      no other way. And essentially everywhere I go I  
20      want to point out we are here to ask for your help.

21

22               And, with that, I'll answer any questions.

23               CHAIRMAN TEUTSCH: Thank you so much.

24               Appreciate it.

25               (Applause.)

1                   Marc?

2                   DR. WILLIAMS: Thanks very much for that  
3 presentation.

4                   I have two comments.

5                   One is that our committee certainly was  
6 active in providing comments on the first go around  
7 of meaningful use and I anticipate that we probably  
8 should be involved in the second, and I would  
9 propose that.

10                  DR. HUNT: Please.

11                  DR. WILLIAMS: The second thing that  
12 struck me as you were going through the presentation  
13 and the number of things that you had referenced was  
14 that so much of what you are doing intersects with  
15 the things we talk about here. And I am interested  
16 in the possibility, and I have been told by Sarah  
17 that this is not out of bounds, would it be--it  
18 would seem reasonable to me to have a liaison from  
19 your office that would be an ad hoc attendee of this  
20 committee engaged.

21                  DR. HUNT: I think that will be fantastic  
22 and I can't step over my bounds, and obviously we  
23 have got to clear it through leadership but I think  
24 we would be very receptive to that, very, very  
25 receptive.

1 DR. WILLIAMS: I propose that that  
2 invitation be made officially.

3 CHAIRMAN TEUTSCH: We can do that. We  
4 will figure out what the channels are.

5 DR. WILLIAMS: Okay.

6 DR. HUNT: If you send a note to me, I  
7 can forward it on to Dr. Blumenthal, and he will  
8 take it under consideration. I am almost sure that  
9 it will be favorably—and we are low maintenance. We  
10 bring our own water even.

11 (Laughter.)

12 CHAIRMAN TEUTSCH: I am sure that we have  
13 our own bureaucracy, too, but we will figure out  
14 what it is and move it forward because that's—it  
15 would be very constructive.

16 I do want to respond, though, to the draft  
17 regulations.

18 DR. HUNT: Yes.

19 CHAIRMAN TEUTSCH: And we have just some  
20 logistical challenges similar to what we discussed  
21 with Rod.

22 This is out now for a 60-day period or so;  
23 correct?

24 DR. HUNT: Exactly. And the comment  
25 period ends on May 13.

1 CHAIRMAN TEUTSCH: So that's before our  
2 next meeting. On the other hand, we do have-

3 DR. HUNT: I mean March 13<sup>th</sup>. I'm sorry.

4 CHAIRMAN TEUTSCH: Yes.

5 DR. HUNT: Okay. I'm sorry.

6 CHAIRMAN TEUTSCH: March 13<sup>th</sup>. But it's  
7 before our next meeting. But I do think we would  
8 like to get some comments.

9 I think, Marc, you drafted the-is that  
10 right?

11 DR. WILLIAMS: Yes.

12 CHAIRMAN TEUTSCH: The comments we sent in  
13 before.

14 DR. WILLIAMS: Yes, I can do that.

15 CHAIRMAN TEUTSCH: Can you extend those--

16 DR. WILILAMS: Yes.

17 CHAIRMAN TEUTSCH: --to be specific-

18 DR. WILLIAMS: Yes.

19 CHAIRMAN TEUTSCH: --to that.

20 I don't know if there are others that  
21 wanted to specifically work for you but then we  
22 could probably share them with the committee here.

23 MS CARR: (Not at microphone.)

24 CHAIRMAN TEUTSCH: Sarah is suggesting  
25 that what we do is we basically take what we did and

1 expand it with other things that we've already done  
2 so that it's a bit more complete and more responsive  
3 to the needs, and move it forward.

4 MS CARR: (Not at microphone.)

5 CHAIRMAN TEUTSCH: We can share it. Yes,  
6 we can. That's the problem. We aren't going to  
7 reconvene. So we can share it with all of you.  
8 Obviously everybody can respond individually and  
9 obviously it sounds like we have an open invitation  
10 to do that.

11 DR. HUNT: Please.

12 CHAIRMAN TEUTSCH: But is what we could do  
13 on behalf of the committee.

14 DR. HUNT: Two things: First is that,  
15 you know, the NIH--there is competition within HHS as  
16 many of you know. The NIH had been crowing around  
17 for I don't know how long that they got 50,000  
18 comments for their stem cell research rule.

19 We are aiming to top that. Okay.

20 (Laughter.)

21 So individually and collectively we really  
22 want you to provide comment and I am not stepping  
23 out of bounds. I have to be careful. This is not  
24 an interpretation of the rule but it's very clear  
25 that in the proposal we have three basic periods of

1 development in the meaningful use. 2011 is first  
2 period by which individual practices and individual  
3 physicians, I'm sorry, will be able to qualify for  
4 meaningful use incentive payments. And as many of  
5 you know, 2011, in terms of the federal government  
6 is like next Wednesday. So our plans--our thoughts  
7 are to have just--to start this off.

8           The next period begins in 2013, and we are  
9 actively thinking on how to really ramp up. In the  
10 statute it was very clear that meaningful use--there  
11 is an expectation that meaningful use will progress  
12 in terms of the requirements.

13           In 2013 we are looking in terms of a  
14 tremendous amount more of process things that can go  
15 into place for meaningful use incentives.

16           And then in 2015, that's sort of the peak  
17 literally and figuratively in a number of ways, and  
18 that's where we are seeing probably the full flower  
19 again of what we can achieve in terms of meaningful  
20 use. And that's the peak primarily because after  
21 that period you can incur--the statute actually  
22 begins to incur penalties for those--for Medicare  
23 and Medicaid providers or Medicare providers, I'm  
24 sorry, that don't meaningfully use an electronic  
25 health record.

1                   So I'm hoping that will help frame some of  
2 your comments.

3                   CHAIRMAN TEUTSCH: That's terrific.

4                   Well, these are of keen interest to us.

5                   Obviously there's a flood of information  
6 in genomics. There's information that needs to get  
7 out.

8                   DR. HUNT: Yes.

9                   CHAIRMAN TEUTSCH: But most importantly it  
10 needs to get used and used well.

11                  DR. HUNT: Yes.

12                  CHAIRMAN TEUTSCH: So we are totally in  
13 sync with this agenda and we realize that it's not  
14 going to happen without an organized system of care  
15 that can get this information out in a manageable  
16 and intelligible way.

17                  DR. HUNT: And, clearly, very few groups  
18 would have a sensibility about the privacy issues  
19 around the exchange of information. And so I am  
20 sure that thoughts around--because you have grappled  
21 with this external to any discussion of health  
22 information exchange for are a while and have a  
23 tremendous amount of--I know well considered thought  
24 on the issues of privacy, and that will be very,  
25 very welcome.

1           CHAIRMAN TEUTSCH:  Indeed.  It remains a  
2   topic of keen interest.

3           So thank you so much for joining us.

4           DR.  HUNT:  Thank you.

5           CHAIRMAN TEUTSCH:  And particularly for  
6   your eloquent comments.  They were delightful.

7           DR.  HUNT:  Thank you.

8                           **CONCLUDING REMARKS**

9           CHAIRMAN TEUTSCH:  So I think that brings  
10  us to the end of our agenda.

11           I hope I'm right.  Sarah, did I forget  
12  anything?

13           So let me try and recap a little bit about  
14  what we managed to--

15           MS CARR:  (Not at microphone.)

16           CHAIRMAN TEUTSCH:  Okay.  All right.

17           While they are putting it on the screen,  
18  are there any other items anyone would like to bring  
19  up that we overlooked?

20           DR.  BILLINGS:  Steve, on--

21           CHAIRMAN TEUTSCH:  Oh, there you are.

22           DR.  BILLINGS:  Here I am.  Are we in some  
23  formal way following up on the GINA regulations?

24           CHAIRMAN TEUTSCH:  I'm sorry.  On the  
25  what?

1 DR.BILLINGS: GINA.

2 CHAIRMAN TEUTSCH: We will continue to  
3 have some reports from the—

4 DR. BILLINGS: So we will get updates on  
5 the—

6 CHAIRMAN TEUTSCH: We will get some  
7 updates. I gave you some brief updates yesterday on  
8 the status but we will be hearing more. I think,  
9 you know, there is a part that the agencies are all  
10 moving forward to get them done. As we know, there  
11 are some residual consequences that were not  
12 necessarily fully anticipated.

13 We may want to revisit some of those in  
14 due course.

15 DR. BILLINGS: Yes.

16 CHAIRMAN TEUTSCH: As a matter of fact,  
17 I'm confident but I think at this moment we're  
18 really looking forward to hearing how they're going  
19 to be implemented.

20 (Slide.)

21 So—okay—to recap:

22 We began yesterday talking about moving  
23 forward with an assessment of the affordable genome  
24 and charged Charis and Paul with leading that effort  
25 and, hopefully, having a session at our June meeting

1 that will begin to inform that process so we can  
2 decide what our niche might be, and we look forward  
3 to that.

4 We heard from Marc on clinical utility and  
5 comparative effectiveness, and have a plan for  
6 proceeding with that.

7 Barbara led us through a review of the  
8 draft recommendations of her task force on genetics  
9 education and training. We agreed to post those  
10 recommendations and put them out, that is, for  
11 public comment. So we will look forward to doing  
12 that.

13 These are my—oh, good, notes for me.

14 Okay.

15 Let's go on. We'll have that public  
16 consultation draft out.

17 We did not receive any additional comments  
18 on the DTC paper so that is going to be completed.

19 And, Sylvia, it did happen on your watch.

20 (Laughter.)

21 So we'll be transmitting that to the  
22 Secretary.

23 Charmaine led us through a good discussion  
24 on genomic data sharing. She'll continue to lead  
25 that steering group and work to gather some

1 information about what the experience with these  
2 various models is to date but we'll also hear from  
3 the Lewin Group as they complete their evidence  
4 review and talk at our June meeting then about how  
5 we might proceed.

6 The gene patents report: Thank you,  
7 everyone. I probably did not thank Jim enough for  
8 all the work he did in preparation for that but we  
9 have got a unanimous vote to approve a motion to  
10 close it and move it forward. So after a little bit  
11 of copy editing it will be on its way to the  
12 Secretary.

13 DR. EVANS: I don't know what I will do  
14 with all of my free time now.

15 (Laughter.)

16 CHAIRMAN TEUTSCH: We heard from Rod this  
17 morning about the Newborn Screening and Advisory  
18 Committee and identified a group to comment on  
19 retention and use of residual dried blood spots, and  
20 we will hear from them in June.

21 The--Rod's committee is going to be looking  
22 at new carrier screening and they will have a  
23 proposal for us also to review.

24 MS CARR: (Not at microphone.)

25 CHAIRMAN TEUTSCH: Right, a proposal about

1 collaboration with them on a way to assess and  
2 perhaps make recommendations concerning carrier  
3 screening.

4 And then, finally, we heard from David  
5 Hunt in regard to the Office of the National  
6 Coordinator and Meaningful Use; delighted to hear  
7 that there was interest in having them have some  
8 representation on this committee.

9 And Marc is going to help draft some  
10 recommendations--a response rather to the regs are  
11 out for public comment.

12 And, of course, lastly, we did hear from  
13 our federal partners and all of the great activities  
14 that are going on there.

15 I am sure I have missed a few things but  
16 it's a lot. We did it and we couldn't have done it  
17 without all of you. So many thanks.

18 MS. ASPINALL: Thank you.

19 CHAIRMAN TEUTSCH: Thanks for those who  
20 hung on there on the phone.

21 Andrea, do you--

22 DR. FERREIRA-GONZALEZ: The commentary  
23 that we wrote--if anybody has any comments--

24 CHAIRMAN TEUTSCH: Yes.

25 DR. FERREIRA-GONZALEZ: --can send it

1 out-

2 CHAIRMAN TEUTSCH: Yes, we got comments  
3 from Paul. That's the only one I heard. We'll be  
4 able to incorporate his suggestions and we're going  
5 to send that—we'll then submit it.

6 Anything else I missed?

7 If not, safe travels and we look for to  
8 seeing you soon.

9 Thanks so much, also, to the wonderful  
10 staff for without whom, we could not do this.

11 (Applause.)

12 (Whereupon, the proceedings were  
13 adjourned.)

14

15

16

17

18

19

20